



U.S. Food and Drug Administration

Notice: Archived Document

The content in this document is provided on the FDA's website for reference purposes only. This content has not been altered or updated since it was archived.

1 FOOD AND DRUG ADMINISTRATION
2 CENTER FOR DRUG EVALUATION AND RESEARCH
3

4 Advisory Committee for Pharmaceutical
5 Science and Clinical Pharmacology
6

7 WEDNESDAY, MARCH 17, 2010

8 7:30 a.m. to 2:50 p.m.
9

10
11 Atlanta Marriott Marquis
12 265 Peachtree Center Avenue
13 Atlanta, Georgia
14

1 **ADVISORY COMMITTEE for PHARMACEUTICAL SCIENCE AND**

2 **CLINICAL PHARMACOLOGY MEMBERS (Voting)**

3 **Jeffrey S. Barrett, Ph.D.**

4 Associate Professor, Pediatrics

5 Children's Hospital of Philadelphia

6 Philadelphia, Pennsylvania

7

8 **Michael D. Caldwell, M.D., Ph.D.**

9 Director, Wound Healing Program

10 Marshfield Clinic Research Foundation

11 Marshfield, Wisconsin

12

13 **Edmund V. Capparelli, Pharm.D.**

14 Associate Clinical Professor

15 Pediatric Pharmacology Research Unit

16 University of California, San Diego

17 San Diego, California

18

19

20

21

22

1 **Jerry M. Collins, Ph.D.**

2 Associate Director for Developmental Therapeutics

3 National Cancer Institute

4 National Institutes of Health

5 Division of Cancer Treatment and Diagnosis

6 Rockville, Maryland

7

8 **David A. Flockhart, Ph.D.**

9 Professor and Chief

10 Division of Clinical Pharmacology

11 Indiana University

12 Indianapolis, Indiana

13

14 **Kathleen M. Giacomini, Ph.D.**

15 Chair, Dept. of Biopharmaceutical Sciences

16 University of California School of Pharmacy

17 San Francisco, California

18

19 **Merrill Gozner (Consumer Representative)**

20 Silver Spring, Maryland

21

22

1 **Arthur F. Harralson, Pharm.D.**

2 Associate Dean for Academic Affairs

3 Shenandoah University School of Pharmacy

4 Winchester, Virginia

5

6 **Gregory L. Kearns, Pharm.D., Ph.D.**

7 Professor of Pediatrics and Pharmacology

8 Children's Mercy Hospitals & Clinics

9 Kansas City, Missouri

10

11 **Juan J.L. Lertora, M.D., Ph.D.**

12 Director, Clinical Pharmacology Program

13 National Institutes of Health, Clinical Center

14 Bethesda, Maryland

15

16 **Donald E. Mager, Pharm.D., Ph.D.**

17 Assistant Professor of Pharmaceutical Sciences

18 University of Buffalo, SUNY

19 Department of Pharmaceutical Sciences

20 School of Pharmacy and Pharmaceutical Sciences

21 Buffalo, New York

22

1 **Howard L. McLeod, Pharm.D.**

2 Director

3 Institute for Pharmacogenomics and Individualized
4 Therapy

5 University of North Carolina, Chapel Hill

6 Chapel Hill, North Carolina

7

8 **ADVISORY COMMITTEE for PHARMACEUTICAL SCIENCE AND**

9 **CLINICAL PHARMACOLOGY MEMBERS (Non-Voting)**

10 **Mukul A. Agrawal, Ph.D. (Industry Representative)**

11 Associate Director, Medical Affairs

12 Roxane Laboratories, Inc.

13 Columbus, Ohio

14

15 **Philip R. Mayer, Ph.D. (Industry Representative)**

16 Assistant Vice President, Clinical Pharmacology

17 Clinical Research and Development

18 Wyeth Research

19 Philadelphia, Pennsylvania

20

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Thomas C. Dowling, Pharm.D., Ph.D.**

3 Associate Director and Vice Chair

4 University of Maryland School of Pharmacy

5 Department of Pharmacy Practice and Science

6 Baltimore, Maryland

7

8 **Lesley A. Stevens, M.D., M.S.**

9 Assistant Professor

10 Tufts University School of Medicine

11 Division of Nephrology

12 Boston, Massachusetts

13

14 **Kenneth E. Thummel, Ph.D.**

15 Professor and Chair

16 University of Washington School of Pharmacy

17 Department of Pharmaceutics

18 Seattle, Washington

19

20

21

22

1 **Jürgen Venitz, M.D., Ph.D. (Acting Chair)**

2 Associate Professor

3 Department of Pharmaceutics

4 School of Pharmacy Medical College of Virginia

5 Campus of Virginia Commonwealth University

6 Richmond, Virginia

7

8 **GUEST SPEAKERS (Non-Voting)**

9 ***Perspectives on Pharmacokinetic Studies in Patients***

10 ***with Renal Impairment***

11 **Richard L. Lalonde, Pharm.D.**

12 Vice President and Global Head of Clinical

13 Pharmacology

14 Pfizer, Inc.

15 New London, Connecticut

16

17

18

19

20

21

22

1 ***Perspectives of Transporter-Mediated Drug Interactions***
2 ***in Drug Development***

3 **Joseph W. Polli, Ph.D.**

4 Director

5 Preclinical Drug Metabolism and Pharmacokinetics

6 GlaxoSmithKline, Inc

7 Research Triangle, North Carolina

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Lawrence Lesko, Ph.D.**

11 Director, Office of Clinical Pharmacology

12 (OCP), OTS, CDER, FDA

13

14 **Shiew Mei Huang, Ph.D.**

15 Deputy Director

16 OCP, OTS, CDER, FDA

17

18 **Darrell Abernethy, M.D., Ph.D.**

19 Associate Director for Drug Safety

20 OCP, OTS, CDER, FDA

21

22

1 **Issam Zineh, Pharm.D., M.P.H.**

2 Associate Director for Genomics Group

3 OCP, OTS, CDER, FDA

4

5 **Lei Zhang, Ph.D.**

6 Special Assistant to Office Director

7 OCP, OTS, CDER, FDA

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

I N D E X

| 1 | AGENDA ITEM | PAGE |
|----|---|------|
| 2 | Call to Order | |
| 3 | Jurgen Venitz, M.D., Ph.D. | 12 |
| 4 | Conflict of Interest Statement | |
| 5 | Yvette Waples, Pharm.D. | 15 |
| 6 | Introduction to the Topics of the Meeting | |
| 7 | Lawrence Lesko, Ph.D. | 20 |
| 8 | Mechanistic ("Systems") Approach to Drug Safety | |
| 9 | Darrell Abernethy, M.D., Ph.D. | 33 |
| 10 | Clinical Pharmacogenomics in Early | |
| 11 | Drug Development | |
| 12 | Issam Zineh, Pharm.D., M.P.H. | 66 |
| 13 | Committee Questions and Discussion | |
| 14 | Jurgen Venitz, M.D., Ph.D. | 93 |
| 15 | New Study Design and Dosing Adjustment | |
| 16 | Issues in Renal Impairment | |
| 17 | Shiew Mei Huang, Ph.D. | 144 |
| 18 | Perspectives on Pharmacokinetic Studies | |
| 19 | In Patients with Renal Impairment | |
| 20 | Richard Lalonde, Pharm.D. | 164 |
| 21 | | |
| 22 | | |

| 1 | AGENDA ITEM | PAGE |
|----|--|------|
| 2 | Transporter-Mediated Drug Interactions | |
| 3 | Lei Zhang, Ph.D. | 194 |
| 4 | Perspectives of Transporter-Mediated | |
| 5 | Transporters in Drug Development | |
| 6 | Joseph W. Polli, Ph.D. | 224 |
| 7 | Committee Questions and Discussion | 240 |
| 8 | Closing Remarks/Adjournment | 316 |
| 9 | | |
| 10 | | |
| 11 | | |
| 12 | | |
| 13 | | |
| 14 | | |
| 15 | | |
| 16 | | |
| 17 | | |
| 18 | | |
| 19 | | |
| 20 | | |
| 21 | | |
| 22 | | |

P R O C E E D I N G S

7:30 a.m.

DR. VENITZ: Good morning. My name is Jurgen Venitz. I'm the acting chair of the Advisory Committee for Pharmaceutical Sciences and Clinical Pharmacology. I will now call the meeting to order.

We will go around the room and please introduce yourselves. And we will start to my left with the FDA. Dr. Lesko.

DR. LESKO: My name is Larry Lesko, director of the Office of Clinical Pharmacology.

DR. HUANG: Shiew Mei Huang, deputy director, Office of Clinical Pharmacology.

DR. ABERNETHY: Darrell Abernethy, associate director for drug safety.

DR. ZHANG: Lei Zhang, Office of Clinical Pharmacology.

DR. CAPPARELLI: Edmund Capparelli from the University of California San Diego.

DR. BARRETT: Jeff Barrett, the Children's Hospital of Philadelphia.

DR. STEVENS: Lesley Stevens, Tufts Medical

1 Center, Boston.

2 DR. CALDWELL: Michael Caldwell from the
3 Marshfield Clinic.

4 DR. KEARNS: Good morning. I'm Greg Kearns
5 from the Children's Mercy Hospital in Kansas City,
6 Missouri.

7 DR. COLLINS: Jerry Collins, National
8 Institutes of Health, National Cancer Institute.

9 DR. MAGER: Don Mager, University of
10 Buffalo.

11 DR. MCLEOD: Howard McLeod, University of
12 North Carolina.

13 DR. FLOCKHART: Dave Flockhart, Indiana
14 University School of Medicine.

15 DR. HARRALSON: Art Harralson, Shenandoah
16 and George Washington University.

17 DR. DOWLING: Tom Dowling from the
18 University of Maryland Baltimore.

19 DR. LERTORA: Juan Lertora from the NIH
20 Clinical Center, Clinical Pharmacology Program.

21 DR. THUMMEL: Ken Thummel, University of
22 Washington.

1 DR. GIACOMINI: Kathy Giacomini, UC San
2 Francisco.

3 DR. MAYER: Phil Mayer, industry
4 representative from Pfizer.

5 DR. AGRAWAL: Mukul Agrawal, industry
6 representative, Roxane Laboratories.

7 DR. VENITZ: Okay. Thank you. Let me then
8 proceed with the official reading.

9 For topics such as those being discussed at
10 today's meeting, there are often a variety of
11 opinions, some of which are quite strongly held. Our
12 goal is that today's meeting will be a fair and open
13 forum for discussion of these issues, and that
14 individuals can express their views without
15 interruption.

16 Thus, as a gentle reminder, individuals will
17 be allowed to speak into the record only if recognized
18 by the chair. We look forward to a productive
19 meeting.

20 In the spirit of the Federal Advisory
21 Committee Act and the Government in the Sunshine Act,
22 we ask that the Advisory Committee members take care

1 their conversations about the topic at hand take place
2 in the open forum of the meeting.

3 We are aware that members of the media are
4 anxious to speak with the FDA about those proceedings.
5 However, the FDA will refrain from discussing the
6 details of this meeting with the media until its
7 conclusion. Also, the committee is reminded to please
8 refrain from discussing the meeting topic during
9 breaks or lunch. Thank you.

10 Now, Dr. Waples will read the conflict of
11 interest statement.

12 DR. WAPLES: Good morning again. My name is
13 Yvette Waples, and I'm the Designated Federal Official
14 for this meeting today.

15 The Food and Drug Administration is
16 convening today's meeting of the Advisory Committee
17 for Pharmaceutical Science and Clinical Pharmacology
18 under the authority of the Federal Advisory Committee
19 Act of 1972. With the exception of the industry
20 representatives, all members and temporary voting
21 members of the committee are special government
22 employees or regular federal employees from other

1 agencies, and are subject to federal conflict of
2 interest laws and regulations.

3 The following information on the status of
4 the committee's compliance with federal ethics and
5 conflict of interest laws covered by, but not limited
6 to, those found at 18 USC Section 208 and Section 712
7 of the Federal Food, Drug and Cosmetics Act is being
8 provided to participants in today's meeting and to the
9 public.

10 FDA has determined that members and
11 temporary voting members of this committee are in
12 compliance with federal ethics and conflict of
13 interest laws. Under 18 USC Section 208, Congress has
14 authorized FDA to grant waivers to special government
15 employees and regular federal employees who have
16 potential financial conflict, when it is determined
17 that the agency's need for a particular individual's
18 services outweighs his or her potential financial
19 conflict of interest.

20 Under Section 712 of the FD&C Act, Congress
21 has authorized FDA to grant waivers to special
22 government employees and regular federal employees

1 with potential financial conflicts when necessary to
2 afford the committee essential expertise.

3 Related to the discussions of today's
4 meeting, members and temporary voting members of this
5 committee have been screened for potential financial
6 conflicts of interest of their own, as well as those
7 imputed to them, including those of their spouses or
8 minor children, and for purposes of 18 USC Section
9 208, their employers.

10 These interests may include investments,
11 consulting, expert witness testimony, contracts,
12 grants, CRADAs, teaching, speaking, writing, patents
13 and royalties and primary employment.

14 Today's agenda involves the following
15 topics: (1) General scientific issues related to the
16 application of pharmacogenomics in the early stages of
17 drug development. Pharmacogenomics examines the
18 genetic differences that influence a person's
19 responses, both beneficial and harmful, to certain
20 drugs;

21 (2) A new patient-centric clinical
22 pharmacology approach to drug safety;

1 (3) The design and analysis of clinical
2 pharmacology studies focusing on how the renal
3 function changes in the way the body absorbs,
4 distributes, metabolizes and excretes a drug in
5 patients with kidney impairment; and

6 (4) Scientific considerations and recent
7 developments in transporter-mediated drug
8 interactions. These interactions are between two or
9 more drugs that either inhibit or enhance the roles of
10 specialized proteins known as "transporters" and, in
11 turn, the interactions can affect a drug's safety
12 and/or efficacy.

13 Based on the agenda for today's meeting and
14 all financial interests reported by the committee
15 members and temporary voting members, no conflict of
16 interest waivers have been issued in connection with
17 this meeting.

18 To ensure transparency, we encourage all
19 standing committee members and temporary voting
20 members to disclose any public statements that they
21 have made concerning the issues being discussed today.

22 With respect to FDA's invited industry

1 representatives, we would like to disclose that
2 Drs. Philip Mayer and Mukul Agrawal are participating
3 in this meeting as nonvoting industry representatives,
4 acting on behalf of regulated industry. Both doctors'
5 roles at this meeting are to represent industry in
6 general and not any particular company. Dr. Mayer is
7 employed by Wyeth, and Dr. Agrawal is employed by
8 Boehringer Ingelheim.

9 With regards to FDA's guest speakers, the
10 agency has determined that the information to be
11 provided by these speakers is essential. The
12 following interests are being made public to allow the
13 audience to objectively evaluate any presentations
14 and/or comments made by the speakers.

15 Dr. Richard Lalonde is currently employed by
16 Pfizer, and Dr. Joseph Polli is currently employed by
17 GlaxoSmithKline. As guest speakers, Drs. Lalonde and
18 Polli will not participate in committee deliberations,
19 nor will they vote.

20 We would like to remind members and
21 temporary voting members that if the discussions
22 involve any other products or firms not already on the

1 agenda for which an FDA participant has a personal or
2 imputed financial interest, the participants need to
3 exclude themselves from such involvement, and their
4 exclusion will be noted for the record.

5 FDA encourages all other participants to
6 advise the committee of any financial relationships
7 that they may have with the firm at issue. Thank you.

8 DR. VENITZ: Thank you, Yvette.

9 We will now proceed with the FDA opening
10 remarks from Dr. Lesko, and I would like to remind the
11 public observers at this meeting that while this
12 meeting is open for public observation, public
13 attendees may not participate except at the specific
14 request by the panel.

15 Dr. Lesko?

16 DR. LESKO: Good morning everybody, and
17 welcome to the Clinical Pharmacology Advisory
18 Committee. I want to thank again each of the
19 representatives around the table up front here. I
20 felt like we should have had a red carpet when I was
21 coming in here. It reminded me of the G-15 meeting or
22 something like that. And I want to welcome everybody

1 that's in the audience there as well.

2 In case I don't do it later on, I just want
3 to emphasize the effort that it took to get this
4 meeting off-site, not in Silver Spring. And a lot of
5 people worked hard. We have six people down here to
6 pull this together with Yvette and Cicely and all the
7 other people. So thank you very much for doing this.
8 I know we drove you crazy with the logistics, but
9 we're finally here. The big day has come.

10 Nevertheless, my job right now is to set the
11 stage for the advisory committee meeting today with an
12 introduction to the topics. And let me start by
13 saying this is a first for us in many ways. This
14 committee, when it was formed -- I'm not sure when,
15 maybe eight years ago or so -- was a subcommittee of
16 another committee. And as a subcommittee, it didn't
17 have all the privileges of voting and full membership
18 on the committee.

19 A short time ago, we were able to get the
20 charter amended so that this committee is now a full
21 committee and has all the voting privileges and all of
22 that, that other committees have. So that was a big

1 step for us.

2 It does have the same purpose. And all
3 advisory committees are committees that provide advice
4 to the FDA Commissioner through the discipline or the
5 office that supports the meeting.

6 The charter for this committee meeting was
7 really focused on a number of topics. And I've
8 outlined them in the box there on the right-hand side.
9 Given clinical pharmacology is a discipline, the focus
10 of this committee was on general topics as opposed to
11 drug-specific topics -- things having to do with dose
12 response; PK/PD quantitation; the science of clinical
13 trials, especially in early drug development;
14 pediatrics; special populations; mechanisms of drug
15 interaction and innovative methods that relate to drug
16 development -- critical path projects, if you will.
17 And the complete agenda today falls into one or
18 another of these topics.

19 So the role of the committee, then, is to
20 review and evaluate the scientific and clinical issues
21 related to the benefit/risk of medicines, especially
22 as it pertains to the clinical pharmacology, and also

1 to review intramural and extramural research programs
2 and critical path initiatives. So that is what the
3 committee does.

4 There is another first. And as I mentioned,
5 this is a full committee, and we scheduled this
6 meeting here in Atlanta as really an experiment. By
7 meeting during the opening day of the ASCPT annual
8 meeting, we thought this might be a good time for
9 members of the Society to see what an FDA advisory
10 committee is all about, what the process of science is
11 in the context of regulatory decision-making. So we
12 have taken it outside of the Washington area for that
13 purpose, and hopefully you'll enjoy the day.

14 It isn't the first time an FDA committee has
15 moved out of Washington. The first time that I was
16 aware of -- that this happened was with the Oncology
17 Advisory Committee, which met at the ASCO meeting in
18 June 2006. Coincidentally, that was in Atlanta as
19 well.

20 Having a meeting like this in association
21 with the annual meeting of ASCPT represents one of
22 our, I would say, strategic initiatives in our office,

1 which is to reach out and work with external
2 stakeholders, including this society and other
3 clinical pharmacology societies as well.

4 In a broad sense, having the advisory
5 committee here again is to expose as many people as
6 possible in the clinical pharmacology community to the
7 regulatory decision-making processes.

8 Now, it's been not quite but almost two
9 years since this committee met. And I thought it
10 would be worthwhile to look at the impact of the
11 advisory committee on regulatory science and
12 decisions.

13 What I've listed on this slide in the left-
14 hand column is some of the meeting dates. It may not
15 be entirely conclusive, but these were the ones that
16 stuck in my mind as milestones for what we
17 accomplished in terms of the committee meetings. In
18 the middle are the topics that were presented and
19 discussed at the respective meetings.

20 Then on the right-hand side, probably all-
21 important, is what the outcomes of those meetings
22 were. And I'll just pick a few of them to give you a

1 feeling for how the process of moving from science to
2 a discussion of policy to a final decision works.

3 The October 2002 meeting, for example,
4 discussed the TPMT pharmacogenetics and the dosing of
5 6-MP. And that label was eventually updated, using
6 the advice from this committee, somewhere around 2004.

7 Today, we'll be talking about transporter
8 drug interactions. And you can see that this was
9 discussed way back in 2003. And it kind of reflects
10 the evolution of science and how rapidly the science
11 is moving forward with our discovery of the role of
12 transporters in drug disposition. With regard to the
13 exposure response guidance, that guidance is now
14 finalized.

15 November 2003, we proposed a new meeting for
16 FDA and industry called the End of Phase 2A Meeting.
17 We described its purpose, the motivation behind it.
18 And this past September 2009, we released a guidance
19 for industry on the End of Phase 2A Meeting. So
20 again, we move from idea through the committee
21 discussions to a final product.

22 As we go down to the last meeting that we

1 had, the clinical pharmacogenomics concept paper was a
2 key part of that meeting, and the renal impairment
3 guidance. And since that meeting, we've been working
4 on both of these topics. And today we'll be
5 discussing some elements of what will eventually be a
6 draft guidance that we'll be issuing for the public.

7 So these are the topics for today. The
8 first is clinical pharmacogenomics in early drug
9 development. And this will be a continuation of the
10 concept paper that we presented almost two years ago.
11 We're not going to go over the whole document again.
12 What we'll focus on is primarily the issue of DNA
13 sample collection. And we have more information now
14 than we had back in the original discussion to share
15 with the committee and look for some input on it.

16 We're going to be talking in some specific
17 ways about the use of genomics to assess variability
18 in drug response. And one of the motivations for this
19 topic is to create a sound basis for late-stage drug
20 development and prepare the way for integration of
21 genetics into late-stage drug development in a
22 credible way.

1 Where we're going with this discussion: We
2 hope to eventually develop a draft guidance. After
3 the committee meeting discussion today, we have a
4 working group that's meeting on this topic on a
5 regular basis.

6 Now, moving to topic number 2, this is
7 something the committee has not heard before. With
8 all of the focus on drug safety, we've developed a
9 concept for a new safety program that's rooted in
10 clinical pharmacology principles.

11 We think of this as a complimentary approach
12 to drug safety, complimenting all of the other
13 programs that FDA has -- things like the Sentinel
14 program, the Safe-Use program, post-marketing
15 surveillance. But one thing those complimentary
16 programs don't have is a systematic way to get to the
17 mechanism of adverse events.

18 So what we're trying to create in this
19 program -- and it is relatively new; therefore, it's a
20 work in progress -- is a mechanistic approach to
21 understanding adverse drug reactions. And the goal of
22 the program is to be able to map off-target effects of

1 drugs and predict adverse events before they happen,
2 to the extent possible.

3 So you'll be hearing about the feasibility
4 of a systems approach to drug safety. And we recently
5 implemented a pilot study that you'll hear about from
6 Dr. Abernethy just recently. And this will be a high-
7 level presentation; we won't present any examples at
8 this meeting. We hope to do that at the next meeting.
9 But nevertheless, from a conceptual program
10 standpoint, we're looking for feedback from the
11 committee where they see this fitting, what might be
12 part of it, and how we might move forward on the
13 design of the program.

14 Now, the third topic is the area of renal
15 impairment. And we've discussed this before in the
16 committee. We are working on a guidance, which would
17 be a revision of our existing guidance. And this will
18 be a continuation of our previous discussions.

19 You'll see today a proposal for a decision
20 tree for conducting studies, including drug
21 elimination by a non-renal route. And this came about
22 because of our collecting data, evaluating data, and

1 our observation that renal impairment affects drugs
2 much more widely than we might have thought five years
3 ago when the original guidance was developed.

4 We'll also be talking about what are the
5 appropriate extremes of renal function for a reduced
6 study design, as we call it in our guidance. And we
7 refer to this as the worst case scenario. And you'll
8 be hearing today about some new concepts on what a
9 reduced study design might look like.

10 Next, probably one of the more complex
11 issues that we want to bring to the committee is the
12 proposed use of the MDRD and Cockcroft-Gault equations,
13 two different approaches to estimating glomerular
14 filtration, which we think can predict, fairly
15 closely, the clearance of a drug based on area under
16 curve. And either one might be suitable for
17 application to dose adjustments.

18 This is a fairly important advancement for
19 inclusion in a future draft guidance. So we'd like to
20 hear the committee's views on these metrics and
21 whether or not they represent improvements, especially
22 the MDRD over the current way we adjust doses.

1 Then finally, we're going to be talking
2 about approaches to conducting studies in dialysis
3 patients, with some new information.

4 Our last topic for this meeting is
5 transporter-mediated drug interactions. As I showed
6 you in that earlier slide, we've been discussing this
7 over the last eight years. No surprise that
8 additional evidence has emerged from the literature
9 from applications that we see on the significance of
10 these interactions.

11 At the same time, the number of transporters
12 is growing exponentially. I think we now have
13 catalogued probably over a thousand transporters,
14 although we don't know the role of each one of those
15 in drug disposition. It's obviously a growing and
16 important area.

17 So the question for the committee is really
18 going to revolve around, what does the regulatory
19 agency say to industry with respect to the growth in
20 the transporter area? Are we at a tipping point, so
21 to speak, on recommending specific studies that might
22 be done during drug development?

1 So these are kind of the four subset
2 questions we'll be thinking about as we approach this
3 topic. What are the clinical questions related to
4 transporters that are important in terms of
5 anticipated use? If somebody does a study, how is
6 that data going to be used? What transporters, of
7 that whole world of transporters, are mature enough
8 that they should be studied, in fact, during drug
9 development?

10 Then once we get through that decision, how
11 to evaluate new molecular entity transporter
12 substrates. And we have a decision tree that we'll
13 share with the committee to discuss that, as well as
14 NME inhibitors of transporters. How do we study
15 those? What is the relationship between in vitro and
16 in vivo studies? Things of that sort.

17 Then finally, once the information is
18 available, we have some questions related to label
19 information. What label information would be most
20 useful to prescribers? What label information will be
21 most useful to patient care? And these are the kind
22 of decisions that we'd like to arrive at through the

1 discussion with the committee.

2 So that's an overview. The expectations of
3 an advisory committee are to freely share your
4 expertise, your insights, your advice on the topics
5 that we'll be presenting. What you'll see in the
6 presentations are going to be several questions where
7 I'll ask for votes. And if people are comfortable in
8 voting, then they should do so. And we look forward
9 to hearing more what you say.

10 So with that, hopefully I've given you the
11 overview of the meeting, and I'll turn it back to the
12 chair.

13 DR. VENITZ: Thank you, Dr. Lesko. Any
14 quick questions by any of the panelists for Dr. Lesko?

15 [No response.]

16 DR. VENITZ: Thank you again.

17 Now, I've been advised that our first
18 speaker is not present yet. So we're going to have to
19 do some minor surgery to our agenda. And we're going
20 to have to start with our second topic first.

21 So what I'd like to do is I'd like to ask
22 Dr. Abernethy -- Abernethy, I apologize -- for his

1 presentation on mechanistic systems approaches.

2 DR. ABERNETHY: Thank you very much. I'm
3 delighted to have the opportunity to present a new
4 program, as Dr. Lesko mentioned, that we've been
5 working on very hard since last fall in the Office of
6 Clinical Pharmacology. And we look forward to your
7 critique, your criticisms, your comments and we hope,
8 your collaboration in helping us to build this program
9 in the very best way.

10 There has been a huge emphasis on safety and
11 drug safety at the FDA over the past few years. And
12 at the moment, much of that effort has been based in
13 the arena of epidemiology and pharmacoepidemiology.
14 And I think that the various alerts to safety
15 problems, and in some cases changes in the way drugs
16 are made available or whether they're made available
17 at all or not, are a tribute to the effectiveness of
18 these pharmacoepidemiological approaches.

19 However, at the same time, we believe that
20 safety is still an issue. And what I'll discuss with
21 you are some concepts and really, a hypothesis that we
22 have that if we can better predict safety problems,

1 perhaps we can avert some of the difficulties that
2 have come from time to time.

3 So these are the questions that we put forth
4 listed on this slide. How can a mechanistic
5 understanding of drug safety evaluation help the
6 empirical or the pharmacoepidemiologic safety
7 assessments both pre- and post-market?

8 Are there ways that we can fully utilize the
9 information each step along the way to look forward in
10 predictive safety assessment? And if this is true,
11 then can we learn more about how to not only predict
12 safety for the population, but to identify subgroups
13 that may be particularly benefitted by a drug therapy
14 or particularly harmed by a drug therapy in order to
15 optimize the use of the drug and optimize the
16 benefit/risk relationships.

17 The unifying theme is to identify
18 variability in response, not a new theme for a
19 clinical pharmacologist. And we think that the real
20 problem is to figure out the predictive factors that
21 patient subgroups, however the subgroups are segmented
22 out -- by genetics, by particular environmental

1 exposures, or what have you.

2 Then we need to work closely, particularly
3 in the pre-marketing arena, with the various review
4 groups at the FDA -- that would be primarily in the
5 Office of New Drugs -- to understand, as they get an
6 increasing understanding of what a pre-marketing
7 development program and the data in it look like.

8 Finally, working closely -- and I apologize
9 for these acronyms, but if I didn't, there would be
10 too many lines in the slide -- with the Office of New
11 Drugs -- that's OND; the Office of Surveillance and
12 Epidemiology -- that's OSE; the biostatisticians and
13 other activities.

14 DSOB is the Data Safety Observation Board,
15 and this is a board that's been set up across CDER to
16 evaluate and bring particular safety programs to the
17 fore. And finally Safety First, which is yet another
18 new initiative at the FDA to help improve drug therapy
19 at the patient level, an area that FDA has really had
20 very little activity in, in the past, but a real
21 emphasis by Dr. Woodcock and others at CDER.

22 There are a number of new tools that are

1 coming along across the scientific community, and we
2 think that these tools have been in rapid development
3 over the past decade. And I guess the real question
4 is: Are they ready at this point now to begin to pull
5 together, into a package if you will, to utilize, to
6 predict drug safety?

7 Data mining algorithms are increasingly
8 sophisticated, and we've been reviewing the large
9 number of companies that are evolving these. We'll
10 discuss one very, very briefly this morning. The area
11 of systems biology of course has been at the fore in
12 research for a while.

13 Then more recently, our chemical biology
14 friends are talking about chemical systems biology
15 now. But that's really simply saying, can we put
16 chemical structure into the equation? And if you
17 think for a moment, that's particularly pertinent to
18 thinking about drug safety, particularly in the small
19 molecule arena.

20 We're working to understand how we can be
21 very proactive in the development of uniform
22 approaches to post-marketing commitments and post-

1 marketing requirements. Again, the acronyms. Part of
2 the FDA legislation in which FDA, when a drug is
3 approved, comes to an agreement with the sponsor as to
4 what needs to occur post-marketing to better
5 understand the best use of the drug.

6 There are a number of current tools that
7 have been developed in the Office of Clinical
8 Pharmacology and in laboratories around the world that
9 are already much more mature than some of the areas
10 that I just mentioned; for example, the
11 pharmacogenetics arena, modeling and simulation and
12 other approaches. And the challenge will be to
13 incorporate and meld these different lines of thinking
14 into a predictive approach.

15 When we say pharmacological mechanism, we're
16 thinking beyond what we'd think of as the usual
17 definition, which I would posit really has to do with
18 on-target or desired therapeutic effects. Of course,
19 part of drug safety has to do with extension of the
20 desired therapeutic effect or excessive desired
21 therapeutic effect. At the same time, an important
22 part and probably where many of the surprises come are

1 in off-target effects.

2 Now, there's much information available with
3 regard to the biotransformation, disposition and
4 elimination of drugs. We're learning much more about
5 the genetics of disposition, and at an earlier stage
6 in learning about the genetics of effectors of drug
7 action or pharmacodynamic genetics.

8 At the same time, as that knowledge evolves,
9 we believe that that can be very useful in identifying
10 subsets of individuals again who may benefit or be
11 harmed by a particular therapeutic approach.

12 This then needs to be and will be integrated
13 into modeling and simulation approaches that have been
14 evolving rapidly and maturing in the Office of
15 Clinical Pharmacology under the direction of
16 Dr. Gobburu.

17 As I've mentioned, we believe that at the
18 moment, much of drug safety is what we'd call
19 retrospective. That is that you really do the best
20 you can with regard to understanding preclinical
21 toxicology. You learn as much as you can during
22 phase 1 and phase 2, of course.

1 But in fact, you monitor for adverse events.
2 And you in essence wait until they happen, and then
3 you count them, and then when there is a perceived
4 signal, begin to work to understand what the mechanism
5 of that adverse event might be.

6 As I say, that has certainly served us well.
7 At the same time, if one could prospectively identify
8 areas in which adverse events were likely to occur, at
9 the very least one could be monitoring more closely.
10 But at best, one could focus a drug development
11 program, perhaps even in phase 3, to alleviate the
12 risk or to minimize the risk and focus on patient
13 populations that would most benefit.

14 I simply listed a few of the -- what I would
15 call retrospective pick-ups that have resulted in drug
16 withdrawals in some cases, and in other cases, are
17 current areas of interest and investigation. If we
18 look at No. 3, for example, the tyrosine kinase
19 inhibitors, a new and dramatically effective class of
20 drugs for specific diseases -- at the same time, a
21 class of drugs that have really a wide variety of
22 targets that we don't fully understand at the present

1 time.

2 The various kinase inhibitors are brought
3 forward as specific inhibitors of a particular kinase.
4 And I think those of us who have spent a good amount
5 of time in biochemistry and pharmacology understand
6 that specificity in that arena is a relative term on a
7 good day. And so, how can we better understand and
8 predict what the potential toxicities of this class of
9 drugs might be.

10 Finally, there's been recent activity and
11 some labeling activity with regard to clopidogrel.
12 Was there a way that we could have known up front,
13 before a number of large clinical trials needed to be
14 done, that there would be subsets of patients or
15 patients on concurrent medications that simply would
16 not benefit from a drug?

17 With regard to the patient populations we're
18 talking about -- well, in clinical pharmacology, the
19 mantra has always been individualization of therapy.
20 And what we think, looking toward the future, will be
21 the individual patient characteristics we can better
22 understand, be they genetic or other characteristics.

1 And as we gain information about that and how that
2 interfaces with exposure to drugs, that will be part
3 of our predictive equation.

4 We'll discuss in a few minutes more, but the
5 concept of developing molecular risk targets in much
6 the same way that we currently develop molecular
7 targets for desired therapeutic effects. The targets
8 for efficacy have evolved in our view much more
9 rapidly than the targets for toxicity. And so, now
10 it's time to bring forward the evolution of an
11 understanding of molecular targets for toxicity.

12 Of course, then that leads to dose
13 selection. And in the past and at the current time,
14 the approach is based on PK/PD characteristics, in
15 some cases using pathways of biotransformation genetic
16 information to better select doses.

17 So the question will be: Going to the
18 future, are there ways that we can further refine dose
19 selection to again enhance the benefit/risk ratio for
20 particular groups, either to inform enrichment designs
21 during preclinical marketing evaluation, or perhaps in
22 some cases, to identify groups that should be excluded

1 from a particular therapy, either because of lack of
2 efficacy or an increased likelihood of adverse event.

3 We think that these approaches, as they
4 evolve, can be very helpful and very synergistic with
5 pharmacoepidemiologic approaches. At the present
6 time, a huge issue in the world of
7 pharmacoepidemiology is the matter of false-positive
8 signals. When a drug gets out into the marketplace,
9 there are all sorts of side effects that get reported.

10 The question is, when do you cross that
11 threshold from when a series of reports is something
12 other than background noise and becomes a real signal
13 that one then needs to further evaluate?

14 We believe that if we can do predictive
15 safety evaluation, that we can offer the
16 epidemiologist prospective hypothesis to go into their
17 considerations when they're looking at this mass of
18 adverse events that have been reported. And so our
19 hope is that we can evolve this in a way that will be
20 very synergistic with pharmacoepidemiology.

21 Moving toward the future, as I mentioned
22 earlier, this really, we think, is going to be a

1 synthesis of chemical systems, biological pathway
2 systems, and then adding genetic information in and
3 other sorts of individual variability that will impact
4 on drug response, either adverse or beneficial.

5 So as I said, we believe that this kind of
6 approach will compliment the pharmacoepidemiologic
7 activities; and at the same time, as part of this
8 approach, to do data mining and other sorts of
9 activities in an informed sort of way, we think, can
10 expand our understanding of the potential for adverse
11 effects.

12 Over the last few years, the various
13 approaches to gleaning information from the masses of
14 data that are in the literature have improved rather
15 dramatically, so that one can hone in on probabilities
16 for associations of a drug and a potential adverse
17 effect that wouldn't really be possible if one were
18 simply using their own knowledge and reviewing the --
19 reviewing literature.

20 A huge challenge in this effort will be
21 communication and collaboration, as it always is. The
22 arrows you see here are certainly not new in the

1 pharmaceutical industry.

2 During my entire career, the challenge has
3 been, how do you get pre-clinical to talk to clinical?
4 How do you get the various steps of the development
5 process to really communicate with each other so that
6 they don't exist in silos and operate in inefficient
7 ways? Here, I think one of the bridges will be the
8 mechanistic clinical pharmacology collaborating and
9 communicating with the pharmacoepidemiology community.

10 A challenge to the sponsors of drug
11 candidates and the pre-marketing will be to develop
12 methods of prospective safety data collection, and
13 then monitoring with pre-specified safety analysis
14 plans. That would be the idea of moving into later
15 stages of drug development with hypotheses about what
16 one should be looking for.

17 In the post-marketing arena, a real
18 challenge when understanding how to evaluate safety
19 signals, of course, is having adequate phenotypic
20 information. In the kinds of safety data that one
21 gleans at the present time, the phenotype of the
22 potential safety signal is often very loosely

1 characterized.

2 FDA, and particularly the Office of Clinical
3 Pharmacology, is developing relationships with a
4 number of healthcare providers that work in more
5 closed systems than much of the U.S. healthcare system
6 in order to have a better handle on phenotype as
7 safety signals arise.

8 So the synergy we're talking about -- this
9 would be within FDA and then of course outside of
10 FDA -- but what's listed here, we think, will happen
11 and will develop in-house with collaborations.

12 We talked some about what we're calling
13 safety systems biology. That's linked tightly, we
14 think, with genetics, and then linking with chemistry
15 pre-clinical tox and modeling approaches.

16 These four boxes you see at the present time
17 -- I'd say the three boxes on your right and at the
18 lower are currently working at FDA and have offered
19 really considerable understanding and benefit/risk and
20 protected the public in many ways. We think if we add
21 the upper left box, innovations and safety prediction,
22 that can enhance the whole process.

1 Now, at the bottom you see this word,
2 "Sentinel." That's a developing activity at FDA to
3 have a much better, active surveillance system out in
4 the community. There'll be a symposium later in the
5 week that addresses this initiative and others
6 directly.

7 But the concept here is to again have the
8 capacity to assess early safety signals in a rapid
9 way, and hopefully in a way that there's enough
10 phenotypic information to really have a better
11 understanding of the meaning of an early safety signal
12 with then the capacity to do something about it.

13 Now, when we use this buzzword or buzzwords,
14 safety systems biology, what are we really talking
15 about? Well, this is kind of the current way of
16 thinking about safety in my view. And you put things
17 into the organ boxes.

18 Then we have these screening laboratory
19 tests that we use to evaluate the various organ boxes.
20 And then, to the extent that we can, we think about
21 interactions among the systems.

22 Now, again, this has served well. But quite

1 frankly, it's not a terribly directed or informed
2 process. If we were to look at a safety evaluation
3 program across a variety of classes of drugs, I'd
4 posit they more or less look all the same. That's not
5 saying that those are bad programs. It's simply
6 saying that it's a fairly generic screening and not
7 focusing on where real risk may be with a particular
8 drug candidate.

9 So our thinking goes more along these lines,
10 that if we can better understand and predict at a
11 molecular level, then if we move up through increasing
12 levels of complexity, that by the time we get up to
13 the organ level, we have a reasonable understanding,
14 we hope, of what pathways may be important, how those
15 pathways may be important from one organ system to
16 another, and then of course how they might interact
17 with each other.

18 This is simply looking at the same thing
19 turned upside down. But the real challenge is to
20 develop, if we look at that lower box, a taxonomy for
21 molecular and other aspects that relate to safety in
22 much the same way that we have a taxonomy, if you

1 will, to understand targets, molecular targets for
2 drug efficacy.

3 Now, this is certainly not news to this
4 group. But it's simply saying that this, the drug
5 itself is a very complex part of this equation. To
6 understand from the structure of the drug to then
7 its -- the various aspects of its biotransformation,
8 its formation of metabolites, reactive or otherwise,
9 and then route of elimination, are all part of this
10 equation.

11 This is looking at the same thing a little
12 differently, and I think brings home our thinking
13 about the necessity to understand what these molecular
14 toxic targets are. At the present time, we have a
15 pretty vague understanding of what these, the various
16 targets are, and as we evolve that understanding, then
17 that we believe will be very useful in making
18 predictions.

19 So the challenge is shown here that we've
20 been talking about. How do we do this? How do we
21 understand and make distinctions between the effect of
22 a drug or an underlying co-morbidity when trying to

1 make these predictions? How can we link classes of
2 drugs or mechanisms of two classes of toxicities?

3 How do we go beyond the obvious? This is an
4 area that we're working very hard in right now
5 because, of course, there are always these rather
6 straightforward likelihood issues. But if we think
7 about it, the surprises we've had in drug safety,
8 particularly in the post-marketing arena, have
9 generally not been so obvious.

10 Now, when we look in retrospect, we can
11 create all sorts of hypothesis to say why a COX-2
12 inhibitor might have particular cardiovascular effects
13 or what have you. But at the same time, before we
14 went into that door, it wasn't so obvious. And a
15 question is: Should it have been, if we would have
16 thought carefully about the target of this class of
17 drugs?

18 Now, there are a variety of approaches that
19 one might take. This is simply using an example of a
20 whole number of approaches that are out there. But
21 the biomedical literature now is being organized and
22 collated in such a way that it can be accessed and one

1 can create, really, relationships that are not at all
2 obvious.

3 This is one particular example that takes
4 the entire database for PubMed and the U.S. Patent and
5 Trade Office and extracts these into categories and
6 concepts that relate to genes, pathways, diseases,
7 model organisms and so on, and then connects concepts
8 in a way that really allows one to see what all the
9 possibilities are, with a weighting toward the
10 strength of the association based on what's in the
11 published literature.

12 Then, of course, the informed observer needs
13 to work with those sorts of relationships to better
14 understand what makes sense, what doesn't make sense,
15 what might need to be explored further to create
16 associations between drugs and potential toxicities.

17 This is just a very simple-minded view of
18 how one might make such an association that wasn't
19 totally obvious. And that would be, really looking --
20 in this case at gene relationships, drug association
21 with gene. How does that associate then with other
22 potential genes that relate to adverse effects? And

1 then stepping back for a moment, applying as much
2 knowledge and as much collaborative input as possible
3 to understand: Does that association make sense? Is
4 that something that we need to look further into, to
5 better understand and predict a potential drug
6 toxicity?

7 The key here is to think beyond, then,
8 extension of therapeutic effect of the drug and to
9 think of the off-target effects that aren't really
10 off-target if we understand the entirety of what's
11 known about that drug and its target.

12 Now, here's just a snapshot that we're
13 developing at the moment, and it is, in the current --
14 currently being further worked through. In the
15 variety of statin trials, both in phase 3 and then in
16 post-marketing that have been done, it was brought to
17 our attention by people in the Office of New Drugs
18 that if you really look across these trials, it's
19 fairly clear that the risk of developing diabetes
20 mellitus goes up actually, some in people who are on
21 chronic statin therapy.

22 The general view at this point has been that

1 the benefits of statin therapy far outweigh the risk
2 of developing diabetes across the population. And so
3 that's something that's of interest and to be
4 observed, but certainly does not alter one's view of
5 benefit/risk for this class of drugs to a large
6 degree. At the same time, are there subsets of
7 patients that are at particular risk of developing
8 diabetes? And that's the question that we posed.

9 Well, it turns out, when you go through a
10 variety of data mining exercises, there's a fairly
11 clear molecular mechanism as to why this might happen.
12 And it has to do with isoprenylation and other things
13 that statins do. And that has to do with impairment
14 of insulin signaling.

15 Now, are there populations then, that might
16 be at particular risk of developing diabetes with
17 statin therapy? Well, if one goes through further,
18 looking across data trying to understand, are there
19 subgroups that have elements of their disease, their
20 underlying disease, that would put them at particular
21 risk? Well, it turns out that there are -- that one
22 can identify hypothesized subgroups.

1 So this provides a hypothesis that one can
2 go forward. And in-house at FDA and then in the
3 public domain, there is a huge database that will
4 allow us to test such a hypothesis across statin
5 trials to understand: Are these predicted subgroups
6 the ones who do get diabetes? Can we understand a
7 very small part of the entire population that receives
8 this particular therapy, identify them either for
9 closer monitoring or for potential alternative
10 therapies or what have you based on this kind of
11 approach? And so we'll see.

12 What are the pieces that need to be
13 developed at this point? Well, as I said, a systemic
14 database for molecular toxic targets. That needs to
15 happen, and that's going to be an important
16 collaborative effort that we undertake.

17 We're in early discussions with NIGMS and
18 the systems and integrated pharmacology activities
19 going on there. And then out in the community, there
20 are a number of people who are beginning to look at
21 this issue. A key thing for us to do will be to pull
22 these groups together in a way to really move this

1 effort forward.

2 Linking the molecular toxic targets to
3 organ-level toxicity will be key, then linking, of
4 course, the molecular toxic targets to those kinds of
5 terms that adverse events get reported in, so that we
6 can make that linkage with what happens in the
7 clinical reporting arena.

8 Linkage of chemical systems biology and
9 biological pathways databases: Well, this is the silo
10 problem. The chemists live in one world, biologists
11 live in another world, and it seems like never the
12 twain shall meet. Of course, that's nothing new. I
13 suspect everybody in this room has confronted that
14 from one time or another.

15 We believe that there's a huge opportunity
16 because the approaches are really not so different in
17 what's trying to happen. And so we believe that we
18 can make some real progress in bringing these
19 approaches together so that starting with a molecule,
20 we can move to the predictions that we need to do.

21 Now, an important piece to be developed, and
22 we hope to be reporting these sorts of things to you

1 over next year and in the next years, are examples of
2 how this has evolved and how it can work because, of
3 course, the proof will be when we really have concrete
4 examples that demonstrate that the predictive safety
5 approach has added value to understanding and
6 optimizing benefit/risk.

7 So with that, thanks very much.

8 [Applause.]

9 DR. VENITZ: Thank you, Dr. Abernethy.

10 Any quick questions by any of the panelists?

11 We will discuss the questions that OCP asked us to
12 answer after the break. Go ahead.

13 DR. MCLEOD: Darrell, the idea is a good
14 one. Currently, the type of data that you would need
15 for to do this is not generated in a consistent
16 manner, and there's really not a structure for doing
17 this.

18 It would be quite an added expense to add
19 that. So what is the carrot for generating the
20 quality of systems data that you want to have to
21 inform this process? I know you're wanting to stay at
22 60,000 feet, but I think that is a 60,000-foot

1 question.

2 DR. ABERNETHY: You're meaning the carrot to
3 the sponsor?

4 DR. MCLEOD: Yes. You know, currently the
5 type of data you get that you would need to ask that
6 is often available ten years after a drug has been on
7 the market. You're talking about bringing that in
8 hopefully earlier than that.

9 In some cases, like in cancer, there is a
10 lot more work being developed. But in other areas,
11 it's just not needed with the current paradigm. So
12 how do you make it so it's worth the significant added
13 expense to start thinking the way you're presenting?

14 DR. ABERNETHY: Well, I can offer you some
15 thoughts. If one can identify the patient group for
16 study in phase 3 that has the optimal benefit/risk,
17 that the development program should go forward more
18 efficiently if one takes a substantial piece of risk
19 out of the phase -- the groups who are highest risk
20 out of phase 3 for study, for example, or modifies
21 dose, or so on, then one could argue that, that should
22 accelerate the development program.

1 In post-marketing, one can certainly argue
2 that the liability that goes along with the safety
3 surprises that occur are not something that anybody
4 wants, either with regard to patient safety or to
5 financial aspects.

6 So I think those are just, you know, a
7 couple of carrots. Now, are those so kind of
8 conceptual that it's very hard to put that into
9 concrete terms? Well, I think a mission that we'll
10 have to have will be with examples to demonstrate that
11 indeed, you have brought value or carrot to the
12 overall program by implementing these kinds of
13 approaches. And I think the burden's on us to do
14 that.

15 DR. VENITZ: Dr. Lertora?

16 DR. LERTORA: Thank you very much for that
17 very interesting presentation. I have a question in
18 terms of the example of statin exposure and the risk
19 for diabetes mellitus. And I'm sure all of these
20 issues are being considered.

21 But when you look at the subgroup
22 depression, I mean, are you controlling or are the

1 people looking at these associations and tools for the
2 fact that patients with depression may be on SSRIs
3 that lead to weight gain, and that in turn increase
4 the risk of diabetes mellitus in that population in
5 terms of associations that may be of a mechanistic
6 nature related to the statins themselves, or perhaps
7 to some sort of interaction, drug interaction, or the
8 effect of other drug that is being prescribed
9 concomitantly to that subpopulation.

10 DR. ABERNETHY: I think that it would give
11 them something to shoot at, and they will. This is an
12 early hypothesis that needs to be tested. And I think
13 what you just described points out one of the
14 challenges that we're hopeful that Sentinel and some
15 of these other activities that are in more closed
16 health care systems can provide.

17 That is how to best get the phenotype of the
18 individuals and have a fully characterized phenotype
19 to address exactly those issues you have, because
20 oftentimes now, you don't have that. You have either
21 a laboratory value that changed or you have some other
22 bit of clinical information. But you don't really

1 have the fully characterized phenotype to understand
2 what -- how real that association may be.

3 As we go forward, we'll just have to have,
4 when we test hypotheses, as much information as
5 possible because it clearly would be in error to be
6 raising many, many flags that shouldn't be raised,
7 that are false signals. That doesn't really get us
8 ahead of where we want to go.

9 DR. MCLEOD: Thank you.

10 DR. VENITZ: Let me allow two questions. I
11 think, Dr. Lesko, you're next.

12 DR. LESKO: I just wanted to comment on the
13 question about data gathering. And one of the ways
14 we've been thinking about this program is forward-
15 looking clinical pharmacology and reverse clinical
16 pharmacology. If you think about it in a preapproval
17 setting, you have information that comes in, in the
18 NDA, both from clin pharm, pre-clinical, and the
19 clinical trials themselves.

20 We think it's possible to identify at-risk
21 populations based on data that would include things
22 like the dose/response relationship between benefit

1 and risk, potential for drug interactions, patient
2 demographic factors, observations of co-existing
3 disease in the pivotal trials, and then move towards a
4 hypothesis about drug safety that would be looked at
5 in more targeted surveillance approach, rather than
6 what I would call maybe a hit-or-miss surveillance
7 approach.

8 Then if you can identify at-risk
9 populations, do the surveillance piece and identify
10 risks earlier, you could then move into possibly some
11 risk management strategies. That's the forward-
12 looking approach.

13 The reverse approach for data gathering --
14 and by the way, in the forward approach, there's a
15 fairly large number of post-marketing requirements and
16 commitments that FDA is rendering. And one could
17 imagine collecting data that is missing in the forward
18 approach through some post-marketing requirements or
19 commitments if that data is missing on drug safety.

20 The other way to look at it is a risk occurs
21 after the drug's approved. Now you get into a reverse
22 clinical pharmacology approach. What are the

1 characteristics of the population? If I go out to
2 that incident or event, what kind of data would I
3 collect? Then I'd go back and look at the mechanisms
4 that I knew prior to the approval and begin to put
5 together hypotheses.

6 This isn't just sitting in the office,
7 either, of course. We have some software we're
8 playing around with that connects concepts and ideas,
9 some of it hypothesis-free. Just to give an example
10 to bring it to life, we recently looked at the
11 ototoxicity of cisplatin in children, and this was
12 reported in the literature out of Canada based on
13 surveillance.

14 What did they find was the biggest
15 predictor? It was the genetic polymorphism and TPMT
16 and COMT, with odds ratios of 5 and 17 respectively.
17 Well, who would have predicted that? I mean, there's
18 no mechanism involved there.

19 But if you reverse analyze that ototoxicity,
20 you realize that most of the principles of toxicity,
21 which is about 60 percent in kids with solid tumors,
22 relates to their age, their concomitant therapy, prior

1 treatment such as brain irradiation, the size of the
2 dose, the duration of the dose and the genetics, all
3 of which you put together in a systematic explanation
4 of that risk, which then leads you to how to manage
5 the risk.

6 You can identify people earlier. You can
7 deal with the disability in terms of the tradeoff with
8 efficacy earlier. You could possibly do some
9 preventive treatment for ototoxicity. So that's kind
10 of a picture of an example that we think could be
11 expanded to other toxicities rather than saying, oh,
12 it's 60 percent of everybody getting cisplatin, and
13 that's just the price you pay for the efficacy of the
14 drug. And I think we can do better than that.

15 DR. VENITZ: Dr. Giacomini?

16 DR. GIACOMINI: [Shakes head negatively.]

17 DR. VENITZ: Okay. Dr. Flockhart?

18 DR. FLOCKHART: I guess I'd like to thank
19 FDA. I'm still recovering a bit from this
20 presentation. It's kind of like inhaling jet fuel.
21 There's a lot of stuff in it and it takes you a while
22 to recover.

1 I'd like to address two points raised by the
2 other questions. One is the expense issue. And I
3 think actually, what this provides is an answer to a
4 big problem, which is the money on safety is not
5 really spent in any comprehensively organized way at
6 all. And this kind of comprehensive vision provides
7 everybody, particularly sponsors but also reviewers,
8 in all aspects, in all divisions of medicine but also
9 across the FDA, with a much more organized way of
10 thinking about it.

11 I think, in thinking ahead, though, I have
12 to just make the editorial comment that I think the
13 stability of proteomics is a problem that is rapidly
14 being solved, and the word didn't come up. Similarly,
15 the stability of microRNA, I think, is established.
16 And these are markers that we will be using as well as
17 genomic markers.

18 So I think the technical inclusion of those
19 to the critical role they play in systems biology is
20 really going to be important. And those are partners,
21 technical I guess, and scientific partners that should
22 be part of this looking forward.

1 I'm interested in the partners that you're
2 interested in engaging early on in this process. And
3 I wondered if you might address that, because that
4 would give us all some insight into how you envisage
5 building this large -- implementing this large,
6 comprehensive vision.

7 DR. ABERNETHY: There is a very important
8 bioinformatics piece, and that really has to do with
9 linking the various approaches. Bringing the chemical
10 biologists into the fold, they have kind of been out
11 there, very much more thinking about QSAR activities
12 as it relates to effectors and so on; but bringing
13 that group of people who have a tremendous
14 understanding at the chemistry into biology level into
15 the kinds of work that we're more familiar with.

16 Then I think, really, if we think of the
17 systems biology community at the moment, these are --
18 that activity really is mostly in the arena of
19 biological pathways. And there hasn't been a huge
20 amount of effort in what happens when you lay a drug
21 molecule on top of those pathways -- some, but not a
22 huge amount. And so I think linking kind of the

1 pharmacologic mechanism with those groups as well.

2 So what we're envisioning, really, are
3 consortia working together. And if you -- I suspect
4 you are familiar. But if you think of what NIGMS has
5 been working toward in their systems and integrative
6 pharmacology activity, it's -- we're talking about
7 those components coming together in a collaborative
8 sort of way to put this concept of, first, the
9 taxonomy of molecular toxic targets, which I frankly
10 think is a huge piece of it at the moment.

11 The way I've kind of thought this through is
12 that safety may be about where efficacy was in 1962.
13 I'm not so sure. That may be a little harsh, but I'm
14 not so sure it's far off. I think that we've come a
15 long way in understanding how to assess and think
16 about efficacy. Now it's time to go a long way in
17 thinking in the same much more careful way about
18 predictive safety.

19 DR. VENITZ: Let me defer all remaining
20 questions until our next presentation because we've
21 got some extra time. Okay? So I have Dr. Caldwell,
22 Dr. Barrett, Dr. Lesko, and Dr. Kearns listed as the

1 next questioners. Let's proceed after the next
2 presentation. Okay?

3 So we're now going back to our original
4 topic 1, which has become topic 2. And we've got
5 Dr. Zineh talking about clinical genomics in early
6 clinical drug development.

7 DR. ZINEH: Thank you very much. Good
8 morning.

9 My name is Issam Zineh, for those who don't
10 know me. My group is the review group within the
11 office who is charged with dealing with
12 pharmacogenomics as well as applied biomarkers across
13 the drug development landscape.

14 Today I've been charged with trying to
15 create some preamble or context to the two, hopefully
16 three questions, time permitting, that we'd like to
17 receive advice on with respect to the optimal way to
18 apply pharmacogenetics in early drug development,
19 meaning those studies in which clinical pharmacology
20 play pivotal roles in general.

21 So our purpose within FDA, within the Office
22 in particular, is to provide pragmatic,

1 methodologically sound advice in terms of
2 pharmacogenetic applications in all phases of drug
3 development. To that extent, we'd like to receive the
4 opinions of the committee on how best to do that on
5 several key questions that remain unresolved.

6 For the purposes of this talk, I'll be using
7 pharmacogenetics or pharmacogenomics interchangeably.
8 For the most part, I'll be focusing on the use of
9 information on DNA variance, whether it be germline or
10 somatic, and how they correlate with variability in
11 pharmacokinetic parameters, pharmacodynamic endpoints,
12 or clinical response.

13 There might be some slight modifications
14 from the original version of the slides. They're not
15 dramatic. They're meant to be more -- they're meant
16 for clarity.

17 So why do we care about pharmacogenetics at
18 all in the drug development space? I think this slide
19 here gives the answer. What you're seeing on the
20 left-hand side of the slide are the numbers of new
21 drug applications and biologics that have been
22 approved over the years. And what you can clearly see

1 is that there's a decline in number.

2 What people should appreciate is that, that
3 decline in number is not due to some changing
4 evidentiary standard that FDA has, but rather to the
5 abysmal attrition rates across all phases of drug
6 development. And that's shown on the right-hand side
7 of the slide.

8 You can see that even for drugs that make it
9 into humans for the first time, only about 60 percent
10 of those go on to what has been historically called
11 phase 2. A little more than a third of those go on to
12 phase 3. And then of those, about 50 percent go on to
13 approval.

14 So when you look overall, you're seeing that
15 there's about a 90 percent attrition rate for
16 compounds that go into humans for the first time. And
17 when one looks closely at those reasons for attrition,
18 they're due to lack of efficacy, lack of
19 differentiation from a comparator, or a safety signal
20 that we found to be notable, either that the sponsor
21 found to be notable or FDA found to be notable.

22 Interestingly, we have pharmacogenetic

1 examples where genetic variations play a role in
2 efficacy, in safety, and in differentiation. And
3 these are just a couple of examples where in one
4 paper, we see genetic determinants of response; and in
5 another paper, we see genetic determinants of unmet
6 medical need or of differentiation in effect; and in
7 another paper, we highlight some concepts related to
8 the pharmacogenetics of adverse events, including
9 serious adverse events that are on the rarer side.

10 So FDA has always -- FDA has been an enabler
11 of pharmacogenetics in many ways. And this goes back
12 to the early 2000s. This slide gives a brief history
13 of -- it's not even early history any more -- of
14 pharmacogenetics at FDA; it comes to the present time.
15 But let me just walk the crowd through this slide
16 quickly.

17 Essentially, in the early 2000s, Dr. Lesko
18 and Dr. Woodcock identified that pharmacogenetics can
19 be an important tool in addressing some of these
20 bottlenecks in development, the reasons for attrition
21 that we highlighted in the previous slide. And so it
22 was felt that it would be important to get some

1 stakeholders together and maybe flush out some ideas
2 on how pharmacogenetics dialogues can occur between
3 FDA and developers.

4 So there was the first in a series of
5 workshops on pharmacogenetics and genomics in
6 development. And at that meeting, a key concept of
7 the safe harbor came out. What's meant by that is
8 that drug companies felt that there needed to be some
9 kind of mechanism whereby they could bring their
10 genomic or genetic or biomarker information to FDA
11 without the fear that FDA reviewers would somehow look
12 at these data and compel the company to do additional
13 studies or to take regulatory action.

14 This was meant to be purely instructional
15 and purely a scientific exchange of information so
16 that sponsors could get some thinking about where FDA
17 is on this science. And FDA reviewers can get some
18 experience on the practical applications of genomics
19 in development.

20 So that safe harbor principle, if you will,
21 evolved into what was called the voluntary genomic
22 data submissions program or the VGDS. It's now called

1 VXDS. And in 2004, the first VGDS came to FDA, and
2 the program has been growing ever since.

3 Since that very first workshop, there have
4 been several workshops. And recently in 2010, in
5 February, we had our fifth workshop, which dealt with
6 the impact of genomics on the product label, the
7 question of co-development of diagnostics and
8 therapeutics, the acceptable parameters around which
9 one can use retrospective analysis of prospectively
10 collected data to make claims about genomic subsets,
11 and the issues of sample collection.

12 This workshop was sandwiched between two key
13 events in the pharmacogenetics space, at least as we
14 see it in FDA. In January we updated the Coumadin
15 label again to include specific dosing guidelines
16 based on VKORC1 and 2C9 genotypes, and we also just
17 recently updated the Plavix label to include a boxed
18 warning notifying patients and clinicians that poor
19 metabolizers at 2C19 may not achieve full benefit from
20 Plavix.

21 So the VXDS program has been, really, a
22 flagship program that was developed within our office.

1 And since that first VXDS, there have been over 40
2 face-to-face meetings with sponsors. These include
3 not just drug companies, but they include academic
4 researchers, diagnostic companies, platform
5 developers, and those kinds of folks.

6 This slide is really meant to show that
7 there is a diversity in the therapeutic areas in the
8 particular topics that we've been dealing with in the
9 voluntary submissions. And this is really what's led
10 to a lot of the thinking around pharmacogenetic
11 applications that we'll get to in a moment.

12 So I want to switch gears just briefly and
13 talk about how genomic information is being used in
14 drug development currently for those who may not be
15 following it closely, what we're sort of doing well
16 and what's not being done so well.

17 In the discovery space, the pharmaceutical
18 industry is for the most part no longer investing in
19 genome-wide association studies of complex disease.
20 It's felt that academic researchers are actually doing
21 that quite well.

22 So what the pharmaceutical industry is doing

1 is looking at the multitude of published genome-wide
2 association studies. To orient you to this slide,
3 these are the 22 chromosomes and the sex chromosomes.
4 What you're seeing in these pinpricks are low side
5 that reach genome-wide association significance. And
6 the colors represent the different phenotypes of
7 interest. They range from everything from Coumadin
8 dose requirements to LDL cholesterol concentrations.

9 So companies are looking at these regions to
10 see if there are potentially druggable targets here
11 for them to develop. There is no doubt that there's a
12 genomic revolution, and that this information is being
13 leveraged by both the pharmaceutical industry as well
14 as FDA.

15 This is not just limited to the area of
16 complex diseases. We know, for example, that genome-
17 wide associations related to drug responses are
18 clearly here. And these are just some examples.

19 The idea would be: How do we find the
20 balance between these exploratory, large-scale,
21 genome-wide methodologies and more focused hypothesis
22 testing in the different phases of drug development?

1 The Office has recognized recently in 2009,
2 January, that there needed to be an integrated
3 approach from a clinical pharmacology perspective to
4 dealing with these complex issues, genomics and other.

5 A manual of policies and procedures was
6 developed that for all new molecular entities,
7 pediatric supplements, and new biologics, that the
8 pharmacogenomics team, the pharmacometrics team, and
9 the clinical pharmacology team would all get together
10 and scope out, if you will, the submissions to see
11 what the opportunities for modeling and simulation,
12 clinical pharmacology analysis, biopharmaceutics,
13 pharmacogenetics would be and what would be the
14 defined roles.

15 That's actually led to a tremendous growth
16 in review work for the genomics group within OCP.
17 This gives you some numbers from 2008 to 2009. What
18 you could see is that for INDs, for biologics, and for
19 new drug applications, there's about a 250 percent
20 growth from 2008 to 2009 in the number of reviews that
21 the genomics group is doing within the office. And
22 this is mostly related to genomics, but it also

1 includes non-genetic biomarkers as well.

2 We've also recognized that there needed to
3 be an integrated skill set for a pharmacogeneticist
4 doing this work within FDA. We've coined this phrase,
5 the clinical pharmacogeneticist, which is an emerging
6 regulatory scientist at FDA, to orient the members of
7 the committee as to what we're actually talking about
8 when we're talking about genomic science.

9 We really divide it into two phases. One is
10 the advice phase, or the IND phase, where we're really
11 trying to instruct companies how to best do the
12 science so that when they do do the science, the
13 results are unambiguous. They're interpretable. One
14 can extrapolate the results to the broader population
15 in which the drug is being approved.

16 The second thing that we do is analyze the
17 data when they come in. So this in the NDA and the
18 BLA space. And we concern ourselves with all products
19 of the genome, not just somatic or germline
20 variations, but we look at RNA protein. We look at
21 expression. We look at -- we can look at metabolomics
22 if it's appropriate.

1 We consider all genomes. So in areas like
2 HIV and HCV and oncology, where you're concerned not
3 just with the patient's genome but also with the viral
4 or the tumor genome, we approach questions slightly
5 differently. And then we use our understanding of
6 biological pathways and pharmacology to make an
7 informed regulatory viewpoint that we call
8 translational analyses.

9 So we've identified opportunities, but we've
10 also identified gaps. So how is genomic science being
11 applied across very diverse areas in development?
12 Clearly, in drug target identification and validation,
13 genome-wide association studies have revolutionized
14 the way that companies approach those questions.

15 I'm going to skip the conversation around
16 predictive models of efficacy and safety. But of
17 course, what we're most interested in is prognostic
18 and predictive enrichment strategies or patient
19 selection strategies. And a big unanswered question
20 is: What is the role of pharmacogenetics in early
21 drug development, in the clinical pharmacology
22 studies?

1 So to conceptualize the question, I think
2 that this slide modified by David Katz really gives a
3 fairly accurate description of how we are seeing
4 clinical pharmacology pharmacogenetics, if you will.
5 And so I'll sort of orient you to this slide.

6 This is all the phases of drug development,
7 as they're classically thought of. What's done is in
8 the pre-clinical development. Companies spend
9 resources in identifying the metabolic pathways of a
10 new chemical entity in terms of what drug transporters
11 or what drug metabolizing enzymes might be important.

12 Based on that pre-clinical information, they
13 may choose one of several strategies on their first
14 in-human studies. These include excluding patients
15 based on genetics, particularly if there's some safety
16 concern or some thought that there might be a narrow
17 margin in those patients; sample collection on all
18 patients, and perhaps analyzing the data if some
19 outliers are uncovered and identified later in the
20 development programs; or enriching the study with
21 certain genetic subgroups.

22 The idea, irrespective of what approach is

1 taken, is that one could inform their population
2 models or their PK information, PD information, based
3 on what they're seeing in these early phase 1 studies,
4 which include the first in-human studies and the
5 multiple dose/rising dose studies.

6 That information is built upon in phase 2.
7 And ultimately, the decisions that are made, the
8 critical decisions that are made in the pivotal space
9 are dose selection and formulation decisions, patient
10 selection for phase 3.

11 What's also being done along the lines of
12 what Dr. Abernethy mentioned is a retrospective
13 analysis in these late-phase clinical studies to
14 identify super-responders, if you will, population
15 subsets in which a drug company can make claims about
16 a group that's more likely to respond to their
17 medications, or to elucidate the basis for changes in
18 biomarkers that one might think are adverse event
19 biomarkers. Those are done in the late-phase studies.
20 And all the while, multiple marker analyses,
21 exploratory, if you will, are being conducted.

22 So this creates, I hope, some context for

1 Question 1, which we won't answer now but we'll
2 describe. This question, the choices are slightly
3 different than what you're seeing in your dossier. So
4 I think we'll vote on the questions as they appear in
5 the packet.

6 This is an earlier form of the question that
7 is meant to highlight the different areas in which one
8 might collect DNA. But in 2008, the AC reached
9 consensus that DNA samples should be collected from
10 all patients in all clinical trials in drug
11 development. So there was a thought that this should
12 be mandatory across phase 1 through 3.

13 Since then, drug developers have stated that
14 this is not a practical or feasible thing, and I'll
15 show you some slides about why we're hearing that's
16 the case. After I've sort of provided you with that
17 information, really, the question at hand is whether
18 or not you still feel the same way as you did in 2008,
19 or is there something more prescriptive that we could
20 provide in terms of advice. There's also some
21 question as to the utility of this wholesale
22 collection of DNA samples when you haven't even really

1 established efficacy of your drug yet or a
2 dose/response relationship.

3 So this is part of the reason why companies
4 say that mandatory collection across all phases of
5 development is impractical if not impossible. What
6 you're seeing here in the colors -- I hope they're
7 projecting well -- is the -- across the phases of
8 development -- this comes from the industry
9 pharmacogenomic working group -- across the phases of
10 drug development, what you're seeing is that for many
11 phases, there's actually high sample acquisition on
12 the order of 70 to 99 percent. And for the majority
13 of these bars, I think the 50 to 69 and the 70 to
14 99 percent sample acquisition are represented. And
15 that's these here.

16 So there's a high number of samples that are
17 being acquired across development. But there's a
18 reason why the sample collection is incomplete. Part
19 of it is because when you look at how the -- we're
20 focusing on DNA here -- how the samples are collected,
21 they're collected through optional participation. So the companies are no

22 One of the other reasons for missing samples

1 is in the context of global drug development, we are
2 told that different countries have different
3 regulations about what can and cannot be done with
4 respect to not just sample acquisition, but storage of
5 the sample and even testing hypotheses.

6 So there may be some health authority
7 rejection of the DNA sub-study. There's a lot of
8 heterogeneity, even within the United States, amongst
9 IRBs and ethics committees on their requirements or
10 what they allow for genomic sub-studies.
11 Investigators may choose not to participate.

12 The investigational staff may not be
13 encouraging or may even be discouraging participation
14 in the genomic sub-studies. There may be some issues
15 in terms of wording on the informed consent. Because
16 this is voluntary, subjects themselves may be opting
17 out or not consenting. And we have been told that in
18 the group settings where multiple patients are
19 consented at the same time, they influence each other
20 as to whether or not they will be participating in the
21 genomic sub-study.

22 So again I come back to the question. The

1 choices in the dossier are not all these -- or they're
2 not broken down in this granularity. The question is:
3 should it be mandatory to collect DNA in any of the
4 following drug development scenarios?

5 Exploratory clinical studies in the
6 preapproval phase of drug development, so these are
7 the phase 1 and phase 2 studies; confirmatory clinical
8 trials in the preapproval phase of drug development;
9 these are the pivotal phase 3 studies, the
10 registration trials, if you will; and (c) is post-
11 approval studies required by FDA to assess a safety
12 signal.

13 Another question for the committee is, if
14 you don't have any priority pharmacogenetic
15 hypothesis, does that change your answer?

16 A second question is, we know that in drug
17 development, just like in patient-oriented academic
18 research, investigators have several options on how
19 they want to approach the pharmacogenetic question.
20 They can do it in a focused, targeted genotyping
21 strategy, or they could use these more high-throughput
22 ADME panels to ask the question, or GWAs to ask the

1 question.

2 So Question 2 is really meant to ask the
3 committee is there anything unique about the drug
4 development context where one might choose a candidate
5 gene approach over a genome-wide association approach,
6 or vice versa? What are the advantages and
7 disadvantages, if you will, to those strategies? And
8 if there's nothing unique about drug development, we
9 would still like to hear from the committee as to what
10 they feel the advantages and disadvantages of those
11 approaches might be.

12 Question 3 goes back to the sort of
13 landscape that I painted, whereby the pharmaceutical
14 industry is using pre-clinical information to make
15 decisions about how they want to approach
16 pharmacogenetics in their first in-human studies and
17 their subsequent studies.

18 Without reading the details of this
19 question, the question is: How do we use, how do we
20 best leverage those pre-clinical data, whether they're
21 in vitro metabolism studies or they're in vivo animal
22 studies to inform things like first in-human studies

1 or drug interaction studies?

2 There's a follow-up question where drug
3 interaction -- pharmacogenetic studies can tell us a
4 lot about drug potentials for drug/drug interactions,
5 and vice versa. So what's the relationship between
6 knowing the genetic information and how that might
7 inform whether or not you need to do a drug
8 interaction study and how might one do that, and vice
9 versa? If you pick up a signal in drug interaction
10 studies, does that tell you anything about potential
11 for genomic subsets of patients differentially
12 responding to medications?

13 What sparked this question is a decision
14 tree that was presented at the previous AC in 2008.
15 This is not a decision tree that we necessarily are
16 endorsing today, but I think conceptually gives you an
17 idea of the question, of what's behind the question.

18 So the idea is that there would be some pre-
19 clinical workup of the molecule in terms of whether or
20 not it's a substrate for a polymorphic pathway, a
21 well-characterized polymorphic pathway. And if it's
22 not -- excuse me -- if it is, but less than

1 25 percent -- this was the number that was put forward
2 at the time, then it was considered that maybe this is
3 not really likely to have a clinical relevance, and
4 one may not choose to do any evaluations from a
5 pharmacogenetic standpoint.

6 If it's greater than some threshold in terms
7 of how much of the compound is metabolized by the
8 polymorphic pathway, then a series of questions and
9 actions are put forward, which include collection of
10 DNA in the early phase clinical studies, and assessing
11 whether or not there is pharmacokinetic or
12 pharmacodynamic variability that's sufficiently
13 different where a decision-maker might want to explore
14 the genetic underpinnings of those differences. And
15 then based on what the answers to those questions are,
16 one would handle that in labeling.

17 Of course, there are many iterations of
18 this. One can choose to do prospective genotype-
19 stratified studies under certain conditions. And we'd
20 like to hear from the Advisory Committee on,
21 conceptually, how one could use pre-clinical
22 information to inform what you do along the lines of

1 these decision tree-type thinking.

2 Now, here are some potentials for --
3 potential considerations as you think about what a
4 decision tree or something along -- a tool along those
5 lines might look like. One, is there any significance
6 if we're talking about a variation in drug metabolism
7 versus transporters? Does that sort of change your
8 answer?

9 What is the balance between the in vivo pre-
10 clinical information and the in vitro microsomal data,
11 for example? Which one do you believe more? How do
12 you balance the information that's coming off of those
13 experiments? Does the number of major metabolic
14 routes matter for the drug?

15 Is there some threshold? Here we had
16 25 percent, what had been previously presented. Is
17 there a clearance threshold via a single polymorphic
18 pathway that the committee feels should be considered
19 to trigger subsequent actions in terms of prioritizing
20 pharmacogenetics?

21 Does the PK variability -- is there a
22 particular PK variability? Are there parameters

1 around PK variability where one might think that
2 there's some clinical relevance to that variation?
3 And does it matter whether or not a PK-PD relationship
4 or an exposure/response relationship has been
5 established for the molecule of interest?

6 This is our current thinking in terms of
7 what we think is important to convey to drug
8 development communities and outside communities.
9 Essentially, we'd like to convey information about
10 why -- the history of pharmacogenetics at FDA and why
11 we think it's sort of important. We'd like to
12 introduce the concepts and provide regulatory
13 background.

14 Then we'd like to talk about clinical
15 evaluation of pharmacogenetics, essentially all the
16 things that we're talking about today, both in terms
17 of general considerations, providing value, examples
18 of value of pharmacogenetics with a couple of
19 examples. By the way, all of these examples have been
20 sort of developed post-approval and in a non-optimal
21 way.

22 So the idea is, can we take any lessons

1 learned from these post-approval label changes, for
2 example, or drug development actions and regulatory
3 actions to more prospectively in drug development
4 tease out the clinical pharmacology questions as they
5 relate to genomic variability and have more informed
6 decision-making?

7 We will also talk -- we hope to convey to
8 people our thinking on applications of
9 pharmacogenetics in different types of studies, so in
10 PK/PD studies in healthy patients, in healthy folks,
11 in patients, in dose response and some other types of
12 clinical pharmacology studies.

13 Then we'd like to discuss with the public
14 specific considerations in study design. So here,
15 we're talking about study populations; the importance
16 of phenotype; how one approaches this from a
17 statistical standpoint; if it's exploratory, what are
18 the considerations from a data analysis and an
19 interpretation standpoint; and of course, what the
20 impact would be on labeling.

21 With that, I stop and I welcome any
22 clarification questions.

1 DR. VENITZ: Thank you, Dr. Zineh.

2 Any quick clarification questions by any
3 committee member? Dr. Giacomini?

4 DR. GIACOMINI: Yes. Very nice presentation
5 and nice update from what went on in the last Advisory
6 Committee meeting.

7 I guess the question I have is, at least
8 what I'm hearing, is there's been a little bit of
9 pushback in terms of sample collection. And the
10 question I have, are pharmaceutical industries who
11 have collected samples, are they actually using those
12 samples in their data submissions, or are they just
13 simply collecting?

14 DR. ZINEH: Right. So there are really two
15 camps in the pharmaceutical industry. There are those
16 that feel we should make sample collection mandatory,
17 to the extent that we can. It's still unclear whether
18 or not we even have the authority to mandate that sort
19 of thing because it makes their job within the
20 industry -- these are the pharmacogenomic groups -- it
21 makes their job within industry much easier in terms
22 of being able to answer some of these questions.

1 There are others, though, that feel as
2 though the value has not been demonstrated yet for
3 routine collection across all development, that whole
4 development landscape. If there's a specific
5 hypothesis or if there's a reason to believe that
6 genetics would be important, then companies feel as
7 though there could be value there, and they're
8 actually doing the experiments.

9 We are seeing pharmacogenetics a lot in
10 different applications, very innovative applications,
11 that range from the exclusion of patients in these
12 first in-human studies to get a better feel, an
13 estimate of the PK, all the way to identifying genomic
14 subsets at the end of the day that are super-
15 responders. So it is actually being done, and being
16 done in pretty unique ways.

17 In terms of the -- I think your second
18 question was just about value in how it's being done.
19 What we're hearing from different companies is that
20 they're -- I think in a sense I answered it -- this is
21 not a -- this is not trivial in terms of resource
22 consumption, and there are a lot of competing

1 priorities within development. So it's not just the
2 collection, but it's the banking as well.

3 So it's felt that again, unless you have --
4 by some companies -- unless you have a specific
5 hypothesis, that it's not justified to do this across
6 all phases of drug development.

7 DR. VENITZ: The last question. Dr.
8 Lertora?

9 DR. LERTORA: Yes. A somewhat related
10 question. But again, I think there's a potential
11 concern in terms of mandatory DNA sample collection,
12 from a regulatory standpoint. Then the pharmaceutical
13 company will try to implement in terms of their
14 clinical trials.

15 Again, you have the issue of volunteer
16 patient autonomy. A patient can always decline to
17 provide such sample after going through the informed
18 consent process. And then you may run the risk of
19 some recruitment bias that is being introduced that
20 then may impact on data interpretation at a later
21 stage.

22 DR. ZINEH: Yes. That is true. There could

1 be a bias whereby you're selecting out people that
2 are, let's say, genomically inclined. On the other
3 hand, we're dealing with some real issues here in
4 terms of data interpretation when the samples are
5 collected in a voluntary way.

6 So, for example, when you have 50, 60,
7 70 percent sample acquisition, how generalizable is
8 that information from that genomic subset? In a
9 sense, there's bias there in the people that have
10 consented to do the genomic study.

11 In addition, if samples are collected, not
12 at baseline, but they're allowed to collect DNA any
13 time during the course of a study, if these are morbid
14 or mortal events, then we run into survivorship biases
15 and things like that.

16 So I think that there are very large
17 questions about how sample collection impairs or
18 limits how you interpret the data and how you
19 extrapolate those. But your point is absolutely well
20 taken and appreciated.

21 DR. VENITZ: Okay. Thank you. Then I
22 suggest that we take our scheduled break, and we

1 reconvene at 9:25. And I am to remind the committee
2 members not to discuss any of those topics amongst
3 ourselves.

4 [Whereupon, a recess was taken.]

5 DR. VENITZ: Okay. Welcome back.

6 We don't have any speakers for the open
7 public hearing, so it looks like we have an extra 30
8 minutes. And with the committee's consent, what I'd
9 like to do is use that time and add it to the renal
10 and the transporter topics, topic 3 and topic 4. So
11 I'd like to stick with a one-hour discussion on topic
12 1 and topic 2, unless I see violent opposition.

13 Okay. Then I would suggest that we go back
14 to the schedule. Our next order of business is to
15 discuss the questions that we were asked to answer.
16 And I think our first question relates to the genomics
17 topic. So I'd like to start off our discussion of the
18 genomics topic, Question 1 in particular. Let me go
19 first, then.

20 I think I enjoyed your presentation, and I
21 understand the dilemma that you're facing in terms of
22 not having the generalizable-type information that

1 you'd like to have, and think about mandatory
2 collection and announcements of those samples. However, I
3 would tell you as an IRB member, I don't think you can
4 mandate that kind of information.

5 I think it is up to the individual subjects
6 to decide whether they want to participate in the
7 collection of DNA samples, regardless of their
8 participation in the study, and that's the way it's
9 usually handled.

10 I can see if you have an a priori piece of
11 information that would tell you to exclude patients
12 because you think it might be a safety issue, that
13 that's defensible. And I think that happens all the
14 time. However, I don't think, at least in phase 1,
15 phase 2, and I think even in phase 3, that it would be
16 ethically defensible to mandate collection of a DNA
17 sample in order to participate in the study. And I
18 think it becomes even more ethically problematic if
19 there's any potential for benefit from participating
20 in the study.

21 I think what, in my opinion, might change
22 that a little bit is your add-on to the question.

1 That is, what is your a priori hypothesis? So if
2 you're looking at exploratory DNA sampling, from my
3 perspective I don't think you can mandate that. And
4 I'm talking about just from an ethical point of view.
5 If there's an a priori hypothesis and you can make an
6 argument that collecting that information improves the
7 risk/benefit for an individual patient, that is a
8 different story.

9 The second comment that I have is related to
10 your decision tree, and that is specifically looking
11 at pharmacogenetic differences relative to drug-
12 metabolizing enzymes. And as far as I can tell, it is
13 perfectly permissible right now under current
14 guidances to substitute genomic information by
15 drug/drug information. Is that correct?

16 That means if I know that I have a 2D6
17 substrate, it is either by demonstration that there's
18 a genetic difference or by demonstration of a
19 drug/drug interaction consistent with that. So again,
20 depending on the information that you have, it may not
21 be necessary, then, to "mandate" a collection of 2D6
22 genotype because you've got other information that

1 tells you what the exposure changes would be.

2 So unless, in my opinion, there's an
3 explicit hypothesis that you want to test, maybe
4 related to exposure response or outcomes, I don't
5 think you should mandate. And I'd be happy to give
6 you --

7 DR. ZINEH: No. I appreciate the comment.
8 I guess, to your second point about the drug/drug
9 interaction piece, what we are finding to be
10 increasingly problematic is that the genetics is
11 pretty unambiguous. If you're a 2C19 poor
12 metabolizer, genetically you are that 24 hours a day.

13 On the other hand, if you have a drug that's
14 a 2C19 inhibitor, it's really -- many times it's hard
15 to know whether that's really a pure 2C19 inhibitor,
16 or does it have some sort of inducing properties? Is
17 there a temporal relationship between the concomitant
18 administration of the drugs?

19 So I think, in some ways, the genomics
20 informs the drug/drug interaction a little bit more
21 than the drug/drug interaction data informs the
22 genomics, for those reasons.

1 Is that clear? I hope I made that clear.

2 DR. VENITZ: Dr. Harralson?

3 DR. HARRALSON: Yes. I guess my question
4 would be, in the early studies where you have very
5 small sample sizes, I don't see how you actually can
6 evaluate the pharmacokinetics if you don't know the
7 genotype if it's a substrate for a major enzyme.

8 I've reviewed a lot of studies with an n of
9 25 or 30 with outliers, and it would seem that that
10 would be basic information, and that as you're looking
11 for volunteers for a study, why wouldn't you ask,
12 would you be willing to -- because you're selecting
13 them anyway, why wouldn't their willingness to
14 participate with their DNA be part of that?

15 DR. VENITZ: Dr. Flockhart?

16 DR. FLOCKHART: I guess I'd like to address
17 this question in a little bit of a legal -- I'm sorry;
18 did you say me -- in a legal way. And that gets to
19 the definition of the word "mandatory."

20 Because I think when the committee
21 originally did this, we were maybe naive in thinking
22 that people would assume that this meant mandatory for

1 patients without consent. Of course that's not what
2 the committee meant. But I think the language could
3 be modified in such a way that it's clear that that's
4 not what we meant.

5 Now, having said that, I think that the idea
6 that people should be strongly encouraged, which is
7 where we were coming from, to do it has been
8 communicated. I mean, it's been done now, and we've
9 got -- Kathy's right. We've got some pushback.

10 But I think the FDA's leadership in this
11 arena is now clear, and their intent that it happen as
12 much as possible be clear. I don't actually think that
13 given infinite resources, there's any scientific
14 argument not to do this. But I think it is very
15 difficult to argue that it be federalized, if you
16 like, like this.

17 I would prefer that we offer advice and
18 guidances in which it was, rather than being federally
19 driven in the sense that everybody has to do it for
20 whatever, that it be scientifically driven on the
21 basis of either statistical considerations when that's
22 possible, or when a reasonable scientific argument can

1 be made.

2 Not to go on too long, but a scientific
3 argument can be made either if you do know a really
4 clear candidate pathway or, on the other hand, if you
5 don't because if you don't, you can argue for a
6 genome-wide association look.

7 So I think you can make scientific arguments
8 in both cases. I'll stop there.

9 DR. VENITZ: Dr. Kearns?

10 DR. KEARNS: Thank you. Just a few
11 comments, and some of them will bleed over to
12 Darrell's talk.

13 Back in the late '40s/early '50s, in
14 pediatrics we always remember bad history. And there
15 was a tragedy called the gray baby syndrome. And I
16 posit that had we understood the similarities in how
17 bilirubin was metabolized and we understood something
18 about that drug, that we understood the children were
19 indeed different, that their phenotypes are different,
20 that perhaps that whole tragedy could have been
21 avoided.

22 But we didn't understand that then, but we

1 do understand it now. And certainly during human
2 development, at certain points, susceptibility to drug
3 effects is different. We know that about adverse drug
4 reactions that occur more commonly in young infants
5 than adults. And we also have very clear
6 understanding now that drug disposition is different,
7 although we may still not totally know the reasons
8 why.

9 That having been said, the inclusion of DNA
10 samples in a study to characterize either an adverse
11 event or the clinical pharmacology cannot assume a
12 fixed phenotype. And much of this, of what I've heard
13 today, has that seemingly as an inherent assumption
14 because the phenotype as we all know is never fixed.
15 The genotype may be, other than if you have an
16 epigenetic phenomenon that occurs, and those do
17 happen, I'm told. But the phenotype does change.

18 One of the real difficulties we are seeing
19 at our institution in trying to look at some of these
20 studies is how fuzzy phenotypes are. You know, people
21 think they do a good job of characterizing a
22 phenotype, and people try to take a dichotomous, do

1 you have/do you don't approach, and that just doesn't
2 get you anywhere at the end of the day, largely
3 because the numbers of subjects that you have,
4 especially in kids, is small.

5 If you look at the frequency of the number
6 of allelic variants that may be of interest, those are
7 low. And so at the end of a study with maybe 50 or
8 100 patients and you've got DNA from everybody, you
9 wind up, not with a turkey dinner, but with turkey
10 scraps at the end of the day, and you can make no
11 sense of it.

12 Even studies now exploring new techniques to
13 look at gene/gene interactions, while those hold some
14 real promise, even the most recent things that are out
15 there, like the use of MDR analysis, don't control for
16 gene frequency. So until we can get those things
17 hammered down, some of this stuff is going to be
18 difficult.

19 I think the last comment I'll make about
20 collecting DNA -- I agree with our chairman,
21 especially in kids. If I go to my place and I say, I
22 want to do a study and oh, by the way, I want DNA on

1 everybody, then I know who the guy on the IRB, one of
2 our chaplains -- I'm not going to say his name here
3 publicly -- but will put me against the wall and say,
4 what are you going to do with it?

5 His point is always, there has to be some
6 direction. You can't just take it because you have
7 maybe some good ideas five years from now. It can't
8 be exploratory. You have to have a direction.

9 So we have to state that the reason we're
10 getting the sample is because we want to investigate
11 more about, you know, X or Y or Z characteristic of a
12 drug. So it's directed. It's not exploratory. And I
13 think if it's done in that way, it's very, very
14 powerful.

15 Lastly, you know, at the end of the day this
16 is all about trying to quantitate the variability in
17 drug response or disposition or concentration/effect
18 relationship. And I think the FDA can be --
19 especially this office, Larry -- can be very useful as
20 it continues to interact with sponsors in coming up
21 with ways to study drugs. And if this technology is
22 important to helping describe variability, then

1 recommendations like that can be made. But for the
2 sake of getting it for purely exploratory science, I
3 just think it's a mistake.

4 DR. VENITZ: Dr. Giacomini?

5 DR. GIACOMINI: Yes. I guess I'll disagree
6 a little bit with that because I feel like the case
7 that Larry made with the cisplatin-induced ototoxicity
8 and what came out subsequently, some genetic variance
9 in TPMT and catechol aminomethyltransferase.

10 When you're doing an exploratory study, I
11 mean, I feel like the purpose of an exploratory study,
12 part of the purpose, is to identify populations at
13 risk and patients who may be at particular risk for
14 safety. And so, for example, if you did a study and
15 somebody had ototoxicity or they were deaf but you
16 didn't have their DNA, you could never go back and get
17 that DNA if you hadn't collected it or banked it. And
18 that would concern me because then you can't identify
19 those populations. So I'm not sure what you're
20 exploring.

21 I do understand that you can't mandate
22 patients to participate in trials and give their DNA.

1 But I'm not sure if you couldn't mandate the companies
2 to include that in their study design, and if the
3 patient opts out, still allow that patient in. But I
4 would be for, when you do an exploratory study, to
5 really try to explore everything to try to identify
6 these populations.

7 DR. VENITZ: Dr. Thummel?

8 DR. THUMMEL: Yes. I'd like to echo what
9 Kathy said. I think you can in fact make it very
10 clear what the purpose of the DNA collection is for.
11 It's to help understand intra-individual differences
12 in safety and efficacy. It's not to explore other
13 issues.

14 All of the questions won't be known. In
15 fact, that is the whole point of the investigation.
16 And many of the issues may not emerge until later.
17 And, you know, my colleagues who do, you know,
18 pharmacogenetic studies on drugs that have been
19 approved really understand that one of the biggest
20 limitations is trying to mount this retrospectively,
21 where the clear place to do it is during the
22 development process.

1 So to me, you know, getting that
2 information, genetic information, is no different than
3 any other type of data that might help understand
4 intra-individual variability. And leave it at that.

5 DR. VENITZ: Dr. Caldwell?

6 DR. CALDWELL: Well, I want to add my
7 agreement as well to the last two comments. Take
8 case, for instance, of warfarin. At the time that the
9 first genetic variants in 2C9 were known as far as
10 this regulation of warfarin metabolism, if we had not
11 had DNA on patients who were on the warfarin
12 subsequently, we would not have understood VKORC1. We
13 would not have understood CYP4F2.

14 Other clear regulators of warfarin
15 metabolism and our ability to adjust the stable
16 therapeutic dose of warfarin were discoveries based on
17 the fact that we had DNA in patients who had been
18 exposed to that drug.

19 I agree that this -- at least so far, this
20 is not a country where we force people to give us
21 their DNA. But I also agree with Kathy that study
22 design can be constructed such that the study design

1 mandates DNA being collected. Having established a
2 biobank of 20,000 people where we've collected DNA,
3 it's very easy to have an IRB approve that, even for
4 exploratory processes.

5 I don't think it's unethical to collect DNA
6 from patients for that type of study. Can patients
7 opt out of the study? Of course they can. I'm not
8 forcing them into the study. Therefore, I'm not
9 forcing them to give me their DNA. But if they're
10 going to participate in this study, and this is the
11 basic study design, then DNA is a part of the
12 contribution for the study.

13 Should there be exceptions under that system
14 for scientific reasons or for other reasons? Fine.
15 That can be done as well. But I think a major
16 emphasis on collecting DNA as a part of the studies is
17 still a worthwhile process.

18 I would like to be able to comment at some
19 point on Dr. Abernethy's talk as well.

20 DR. VENITZ: We will.

21 DR. CALDWELL: Thanks.

22 DR. VENITZ: Go ahead.

1 DR. KEARNS: Just, I want to make sure that
2 my comment's not misunderstood. I agree with what
3 Kathy said, Kenny said, and what you said. Okay? My
4 only point is when we collect that information, we
5 have to be able to say the reason why.

6 The reason why might be if there's a safety
7 concern, or to evaluate. But what we can't do is say,
8 we're going to collect it, and if we're asked why and
9 we don't have a reason, that can't be done in
10 children.

11 DR. CALDWELL: But it's easy to come up -- I
12 mean, it's easy to explain the reason.

13 DR. KEARNS: I agree. But I'm just being a
14 little pedantic here in terms of what has to happen.
15 And I just want to be clear.

16 DR. VENITZ: And I would second that. I
17 think what bothers both you and me is the term
18 "mandatory" more than anything else.

19 Dr. Barrett?

20 DR. BARRETT: I think if this becomes an
21 exercise in trying to think up a genetic hypothesis
22 before every study, it just really undersells the

1 value of the data, though. I mean, I hear what you're
2 saying as far as wanting to come up with a good
3 rationale and certainly appreciate the difficulties in
4 dealing with the IRB.

5 But all of this information will have
6 different value in terms of its content. And it's
7 very difficult, I think, to construct this on a study-
8 specific basis. I think it also undersells the value
9 in looking at this across studies. Compounds are
10 going to die at various stages of development, and you
11 will not get the same value out of some of them.

12 But the ones that move forward when you have
13 the ability to construct a longitudinal data set that
14 grows and then leaks into an eventual patient
15 population data set, there will be tremendous value in
16 having that look-through. So I think we up-front have
17 to appreciate that some of this process will not offer
18 the same amount of clarity, and that it's something
19 that you have to buy into on a larger plane.

20 Notwithstanding the difficulties in the word
21 mandatory here, but I think at some point you have to
22 recognize that this does have value beyond the

1 individual study, and then solicit buy-in on a much
2 bigger scale. And we still have to educate.

3 DR. VENITZ: Don?

4 DR. MAGER: I think in the area of the
5 confirmatory studies, there's a lot of incentive for
6 this to be done already. And I think the marketplace
7 has caused many groups to, if not make it mandatory,
8 at least strongly encourage it throughout their
9 clinical trial system.

10 There are some situations where companies,
11 the sponsors, have felt so strongly that they have
12 mandated it with very strong scientific rationale for
13 that. And so I think that having a -- and the FDA use
14 of the word mandatory is not necessary because of what
15 we're trying to accomplish.

16 Where I do think that there are issues that
17 we haven't really discussed enough is in the early
18 phase part, where it is truly exploratory, with the
19 exception of maybe some pharmacokinetic-type variance,
20 or in the post-approval stage, where the collection of
21 the sample is more challenging than in the context of
22 a phase 3 trial. And I don't know the answers there.

1 Certainly the phase 1/2 area is much easier
2 to work with. But I do think that that's an area
3 where there's -- especially on the safety side in the
4 post-approval side, there's potential for a lot of
5 added value.

6 But right now, with a few exceptions,
7 abacavir being one of them, there haven't been a lot
8 of examples where having the collection of samples has
9 led to not only better development of the drug, but
10 better use of the drug after development.

11 DR. VENITZ: Dr. Collins?

12 DR. COLLINS: So the discussion sort of
13 confirms that I thought coming here, that if the
14 wording of this question were, is it highly
15 scientifically attractive? Is it conceptually
16 appealing? Should we strongly encourage people to do
17 this? You know, I think there'd be just spectacular
18 support for it.

19 One of the issues is how do you build a
20 case? If you really want to make it mandatory, how do
21 you build the transition between what's conceptually
22 attractive to what's really compelling? And I think

1 what's missing very strongly here, and some of the
2 speakers have commented on it, is every single example
3 we have is in the post-approval setting -- and not
4 just the immediate post-approval setting, but in most
5 cases the deep post-approval setting.

6 I'm fascinated by the cisplatin information.
7 The cisplatin's been around for more than 20 years.
8 And, you know, there's no way that that would have
9 been -- you know, that's an example that's got -- you
10 know, we're finding examples for a tiny fraction of
11 the number of approved drugs and generalizing them.

12 I was very encouraged by the data that you
13 showed that there's a tremendous amount of activity
14 already in collecting it. And I disagree with the
15 comment that 50 to 70 percent is a low number or -- I
16 can't even imagine how it could be a highly biased
17 number, given the fact that most of the value here is
18 for rare events.

19 It's not that most patients get adverse
20 reactions. It's that we're trying to protect the rare
21 populations. And as long as you're collecting 50 to
22 70 on a voluntary basis, that's pretty impressive to

1 me. But if you want to make the case for it to be
2 further, given all this incredible amount of activity
3 in banking and analysis, then you ought to be able to
4 have not just an example, not just a book, but a whole
5 shelf full of books that are filed with case examples
6 of where, in the prospective drug development plan,
7 this made a difference, and we're glad that we did it,
8 and it saved the patients. That would be my advice.

9 DR. VENITZ: Dr. Lesko?

10 DR. LESKO: So it might help frame or sort
11 of bring this discussion back to say, okay. It's
12 unlikely an agency anywhere is going to mandate DNA
13 collection. But it could do other things -- strongly
14 recommend, recommend, what have you. One practical
15 reason is that DNA collection, if it were mandatory,
16 could not actually be accomplished in many countries
17 where global drug development is being implemented.

18 So put that aside and say, okay. So the
19 recommendation for DNA collection is probably more
20 feasible. It strikes me that one of the roles of
21 early drug development, clinical pharmacology in
22 particular, is to learn about the molecule. And we

1 oftentimes think we know more than we know.

2 I was thinking of the examples to bring this
3 to bear. We just relabeled clopidogrel with 2C19.
4 And when you look at the in vitro information on that
5 drug, you would have never predicted the impact that
6 polymorphism on 2C19 had. You would have looked at
7 that and said, that's a 3A4 substrate, and forgot the
8 2C19. So in fact, it took the post-marketing studies
9 to figure out what was going on with active metabolite
10 levels and polymorphism because it wasn't looked at
11 early on during the development phase.

12 So part of this thinking on this DNA
13 collection is to not wait till events happen and then
14 try to figure it out, but try to understand the events
15 before they occur. I was thinking of a few examples
16 because I think it helps to sort of frame our thinking
17 in this.

18 Some of the things you can't do if you don't
19 collect DNA. You can't explore an adverse event
20 thoroughly during the pre-marketing period. And
21 generally, a drug might be stopped in its tracks
22 because of an adverse event. Think of something like

1 drug-induced liver disease. Then you enter into a
2 rescue situation, which is somewhat later on.

3 I was thinking of the KRAS panitumumab
4 situation, which in the early days of trying to
5 develop an association between mutant KRAS and lack of
6 benefit, the studies were hampered by an incomplete
7 data collection. And if you look at statisticians
8 trying to say, well, wait a minute. If you want to
9 claim an association, whether it's to prevent risk or
10 define a subset, you need a fairly high collection
11 rate and you need a fairly high ascertainment rate, as
12 it's called, because convenient samples don't work.

13 The other thing we've been sort of thinking
14 about in this context is, what causes a PK outlier?
15 And the issue is real when it comes to small studies
16 but, you know, we're seeing studies in NDAs of early
17 drug development with 30, 40 studies of phase 1, so
18 that it isn't, you know, unthinkable to say, let's do
19 a meta-analysis of these things if we had the data
20 available to look at and explain outliers.

21 So this is kind of a backdrop for, can you
22 learn new information about molecules that would

1 eventually bring value to benefit and risk, to better
2 dosing? And, you know, if you don't collect the
3 samples, our thinking is, you're not going to move
4 from where we currently are, to where we might go with
5 regard to improving the benefit/risk of drugs.

6 So if there's other ways to think about
7 this, then I think it's good to hear that.

8 DR. VENITZ: Dr. Mager?

9 DR. MAGER: Yes. Just to follow on that, I
10 completely agree. And I think although the cases are
11 clear for confirmatory and post-approval stages, I
12 think the case is also very clear for exploratory
13 stages for collecting DNA data. It can be
14 straightforward during that phase, in the learn phase,
15 just to validate the causal pathway or the mechanism
16 of action of the compound.

17 There's been a lot of focus, I think, on PK
18 and adverse events, and rightly so. But I think we
19 also need to remember that this is really the learn
20 phase, where we're trying to understand the mechanism
21 of action of the compound. And more information about
22 that causal pathway lends a lot, not only to that

1 compound, but additional compounds that might come
2 down the line for a particular disease.

3 DR. VENITZ: Dr. Zineh?

4 DR. ZINEH: I just want to build on both of
5 those last comments in response to Dr. Collins.

6 So in terms of examples that we have, as you
7 describe them, there are very few of those kinds of
8 examples, sort of predictive examples, if you will.
9 On the other hand, if pharmacogenetics is being done
10 well in early development, you won't see -- the public
11 won't see the value of pharmacogenetics because it's
12 going to either kill a drug due to outliers,
13 unacceptable outliers, or a margin that's unacceptable
14 based on genetics; or in many ways, in many
15 applications, it'll inform dose selection in later
16 phase studies.

17 So at the end of the day, what you have is
18 either a no-go decision, which no one will see, but
19 that's still a valuable decision that was made based
20 on pharmacogenetics; or you have a dose or a set of
21 doses that were selected and informed by the genetics
22 that the sponsor deems to be acceptable from a

1 risk/benefit standpoint in the larger population in
2 the absence of genetics.

3 So you may not see genetics translate into a
4 label even though it's been employed quite impactfully
5 in the drug development space. So I would argue that
6 there are actually a lot more examples than the public
7 sees.

8 DR. VENITZ: Dr. Collins?

9 DR. COLLINS: Well, I agree. But for those
10 of us around the table who don't work at the FDA,
11 we'll never see it. But for those people who work at
12 the FDA, you will see it. You will know it. And I
13 would argue that you have an obligation to redact it
14 fully, not disclose any commercial information, but
15 inform the public about those cases.

16 If you can do just, in the last five years -
17 - there were 250 NMEs, of which is the number one? Is
18 the number five? Is it a hundred that were stopped
19 because of this go/no-go decision based on prospective
20 genetics? That would be extraordinary in terms of
21 informing the public about the value of this.

22 DR. ZINEH: Just to be clear, in both of

1 those -- so we know the -- or we can get the numbers
2 for the latter example. That's actually in the public
3 domain in terms of the reviews that get posted. What
4 I mean by that is if genetics was used to select
5 dosing, that's part of the development program, and we
6 have that information, and so does the public.

7 The decision not to pursue a drug, we have
8 anecdotes. We never see them, so we don't have that
9 information specifically.

10 DR. VENITZ: Okay. I think we covered
11 Question No. 1 extensively. For the sake of time,
12 let's just move to Question No. 2 and see whether
13 there are any additional comments by any of the
14 panelists. So this is comparing the genome-wide
15 approach versus the hypothesis -- or exploratory
16 versus hypothesis-driven approach. Any comments?

17 DR. BARRETT: Yes. I really applaud the
18 proposal here. But I guess one of the things I was
19 concerned about is, again, the clinical pharmacology.
20 Early phase population seems to be at variance with
21 the ability to identify signals. I'm not saying not
22 to do it, but I think, you know, you're leaking into a

1 patient population eventually where you can take a
2 look at the generalizability of the eventual
3 marketplace.

4 But these are low frequency-occurring
5 events. And to think that you can make those kinds of
6 association during -- while you're accumulating that
7 data, I think, is going to be challenging. I think
8 the proposal, in terms of generating these kinds of
9 mechanistic databases, is a good one. But I think you
10 also need to have the complimentary patient-specific
11 information.

12 In clinical pharmacology, we were very much
13 focused on drug therapy, of course. And I think the
14 example that Greg and others had given in pediatrics
15 is a good one. But I liken it to the Etch-a-Sketch:
16 When not a lot is written on it, it's easy to see who
17 did it. But as we age, it becomes much more difficult
18 to see what happened along the way. So things like
19 environmental factors or other patient-specific co-
20 variants are not part of the database where those
21 kinds of associations would be established.

22 I think this is a situation where, if we did

1 have more of a retrospective view of certain highly
2 public-knowledge areas, like the statins, like the
3 COX-2 inhibitors, in terms of being able to drill
4 down, would we have seen those events had we had
5 focused this kind of a scope on the early phase
6 development? That would be much more compelling in
7 terms of building this case.

8 I think it's something that should happen
9 just matter-of-factly because it's important that this
10 kind of proposal move forward. But convincing people
11 that it's rigorous and that it has the ability to
12 identify these signals, and that the signals
13 identified in a phase 1/2 population are going to be
14 generalizable to a patient population, that's the
15 story that I think has to be told as well.

16 Again, you've got small sample sizes.
17 You've got differences in terms of the patient
18 population. The exposures are not the same. It may
19 be very helpful in terms of looking at acute
20 toxicities or adverse drug reactions, et cetera. But
21 in terms of predicting what's going to happen from
22 long-term chronic administration, it may be less

1 clear.

2 Again, I think it's something that still
3 should move forward, but understanding the
4 complexities of the data and looking at the patient in
5 a more holistic way, I think, has to be factored into
6 this proposal.

7 You said here that the unifying theme is to
8 identify variability and uncertainty at the individual
9 level. But it seems that we're focused a little bit
10 more on building the mechanisms, which again is an
11 important building block here. I just think we have
12 to keep in mind the patient-specific factors and how
13 complex this is.

14 DR. VENITZ: Dr. Lesko?

15 DR. LESKO: I think there's another way of
16 thinking about this question, and that is, it's not an
17 all-or-none approach. So could one conceive of a
18 risk-based approach to when I might decide to collect
19 DNA in a drug development program, and what would that
20 criteria look like?

21 Well, for example, would I rely on the
22 mechanism of elimination of the drug, something

1 totally metabolized, something totally excreted
2 through the kidneys? That might be one level of
3 decision-making. Another might be: Do I know of a
4 pathway that has a validated polymorphism, a 2D6 type
5 of thing, as opposed to one that doesn't?

6 A third thing might be, you know, we've used
7 the biopharmaceutic classification system of
8 permeability and solubility to identify molecules that
9 have an interplay between enzymes and transporters,
10 you know. Would that be a sort of an attribute of a
11 drug where you might want to look at a DMET chip, for
12 example, to see what effect transporters have?

13 You know, begin to stratify the question
14 into -- just like we do with populations. You know,
15 here's a high-risk population. Well, here's a high-
16 risk drug. And over here is a low-risk population, a
17 low-risk drug.

18 So short of saying, let's just do this for
19 everything, is it possible to identify molecule
20 attributes that would lend itself to targeted DNA
21 collection because we anticipate something?

22 We might even think about drugs in a class.

1 What have we learned from prior molecules with similar
2 structures? You know, and think about it along those
3 lines.

4 DR. VENITZ: Let me maybe follow up on that
5 in favor of the candidate approach, where you have
6 some mechanistic understanding how it might affect
7 exposure or response. I think one of the benefits
8 that you have, and I think that's implied in the
9 question here, is that you can actually assess two
10 things. You can assess the magnitude of exposure
11 change/low response changes, and the potential
12 clinical significance, which is something that you
13 wouldn't get any other way.

14 So, for example, 2C9 poor metabolizers, we
15 might know a lot about exposure changes based on
16 phase 1 or drug interaction studies, but we have to
17 translate that into clinical outcomes.

18 Then, obviously, a focused approach using
19 that in phase 2 and phase 3 would help you to
20 understand, does it lead to an increased incidence of
21 adverse events or changes in efficacy as a palliative
22 for clopidogrel?

1 So I think the candidate approach, to me, is
2 very meaningful, not only to understand the mechanism,
3 but actually to understand its clinical significance.
4 And it goes beyond the drug/drug interaction. So I
5 would actually be very much more in favor of a
6 candidate type approach, where we understand the
7 mechanism and can relate it to clinical outcomes, than
8 hypothesis-generating that we still have to prove
9 after the fact before we can make any dosing
10 recommendations.

11 Dr. Giacomini?

12 DR. GIACOMINI: Yes. So I guess I'm in the
13 middle of what Jurgen is saying and totally doing an
14 exploratory GWAS without candidates. And that is, I
15 feel like a lot of pre-clinical information, you know
16 some transporters, some enzymes, that are interacting
17 with the drugs. You're not sure quantitatively,
18 clinically, which ones may play the more important
19 role or not.

20 So in that case, I'm for including the
21 pathway genes, including those in terms of your
22 genetic analysis, but adding the other enzymes and

1 transporters that you may not know about as well in
2 that first look. Because you may find that a variant,
3 a polymorphic variant in one of those transporters or
4 enzymes that you hadn't thought was that important in
5 your pre-clinical study but was a minor role, may be
6 playing a more major role.

7 So I like the idea of candidates. But
8 explore the transporter ADME world in your
9 pharmacokinetic determinations.

10 DR. VENITZ: Dr. Flockhart?

11 DR. FLOCKHART: Well, this may be stating
12 the obvious, and I think I'm agreeing with a lot of
13 what has been said. But I think the answer to these
14 questions of whether to take a candidate pathway
15 approach or genome-wide is very, very, very area-of-
16 medicine-specific and it's very drug-specific.

17 But the simple things are, I mean, candidate
18 genes are robust. They're cheap. They're fast. And
19 genome-wide associations are not robust, they're not
20 cheap, and they're not fast. I think in situations
21 where you know a huge amount about it, actually
22 sometimes it's very difficult to justify a genome-wide

1 association just on cost, simply on cost.

2 You need large, large, large numbers, and
3 then you need to validate afterwards. And I think
4 there are situations where let's be honest about this.
5 We're trying to help people who are trying, in a very
6 challenging environment, to not only present new drugs
7 that will be useful to large numbers of people and
8 safe, but be practical, be economically doable.

9 I think that in general, when you have a
10 good handle on the basis of the pre-clinical work and
11 what the genetics might be, it might be very hard to
12 justify actually doing a genome-wide association
13 study. It's not hard to justify collecting DNA.
14 Never hard to do that, I think. Relatively easy to do
15 that, building on the last question. But actually
16 doing a genome-wide, when that is also itself evolving
17 towards next generation sequencing and circulating
18 microRNAs, it can be hard to justify.

19 DR. VENITZ: Any final questions or
20 comments? Dr. Huang?

21 DR. HUANG: I just want to mention, just
22 related to the candidate gene approach, and I want to

1 mention that with our draft drug interaction guidance,
2 which we are revising to come out with another draft,
3 which will be a revision of the 2006 draft guidance.
4 And we really talk about pathways. If it's a
5 metabolism pathway, we have certain basic enzymes that
6 will suggest to study.

7 So they will have data in the submission,
8 and based on the decision tree that we have proposed
9 for metabolic enzymes. And later on you will hear a
10 way to present for transporter, what kind of decision
11 tree we have. You will have a pathway on how to
12 evaluate the importance of certain pathways.

13 With that, we can have some information
14 about genetics. And earlier on, we have heard that
15 perhaps we need to know more about what we have done
16 pre-marketing. And we do have a drug, tetrabenazine,
17 which was approved several years for Huntington's
18 chorea. All we know is a CYP2D6 substrate. And based
19 on a prooxidase interaction study, we label it on 2D6.

20 So at that time, I mean, for this particular
21 case, we do not have DNA samples, but we can
22 extrapolate. But on the other hand, if we have

1 genetic data like the clopidogrel case, we can come
2 back and ask CYP2C19 a question on genetics.

3 So I think it's very important to consider,
4 if we want to talk about candidate gene approach, that
5 it's very important to hear from the committee, if we
6 are going to go through the candidate gene approach,
7 what kind of -- which pathway that we should focus on.

8 I mean, I know there are commercially
9 available gene chips with a lot of enzymes, more than
10 we have recommended the sponsor to study, and also
11 transporters. But it's important to get feedback on
12 what are the ones that are mature enough that we need
13 to evaluate at this point, or we would recommend the
14 sponsors to do.

15 DR. VENITZ: Dr. McLeod?

16 DR. MCLEOD: Well, I think that we have a
17 very pharmacokinetic-based discussion we've had so
18 far. And certainly, the GWAS chips do a less -- or
19 have poorer coverage of many of the pharmacokinetic
20 candidate genes compared to some of the more custom
21 chips now, the DMET plus, et cetera.

22 But it's pharmacodynamics that is the area

1 that we're kind of ignoring because it's so hard. And
2 that's the area where there have been some nice
3 insights. I mean, who would have ever thought we
4 would have a validated marker for interferon response?
5 The data that came out of there was -- those genes
6 were not on anyone's list, would not have been on a
7 custom chip.

8 Same with the more recent ribavirin. And,
9 you know, even though Dave Goldstein's from another
10 North Carolina-based university, he did some
11 phenomenal work identifying things that were not
12 obvious. And so I think where pharmacodynamics seems
13 to be the key step, that we really have to go to a
14 GWAS-type approach business because we're just not
15 very smart.

16 DR. VENITZ: Unless there are main
17 objections, I'd like to move us to topic 2 to stay
18 within the timelines. Are there any objections? Any
19 additional comments that anybody wants to make
20 regarding pharmacogenomics, pharmacogenetics?

21 [No response.]

22 DR. VENITZ: Okay. Then let's move on to

1 topic 2, Question No. 1. I have a list of people that
2 didn't get a chance to talk about it, and I think the
3 first one is Dr. Caldwell. You had a comment to
4 Dr. Abernethy.

5 DR. CALDWELL: Thank you. I just wanted to
6 bring us back to the discussion we were having before,
7 about looking at approaches to adverse events, and
8 particularly in the post-marketing pharmacovigilance
9 stage.

10 I think that databases are extant that can
11 provide effective ways of being able to get at some of
12 these adverse events in a non-biased way. And I think
13 it addresses some of the questions of fuzzy phenotypes
14 that we talked about earlier, and that is that most of
15 the phenotypes that we work with for adverse drug
16 events currently are biased by our own thoughts of
17 what the adverse event should be, based on the class
18 of drug, or what our experience has been.

19 But some of our recent experience using
20 machine learning to interrogate electronic medical
21 records has demonstrated to us things that -- you can
22 pull out phenotypes quickly. You can pull out

1 phenotypes in an unbiased way that you would not
2 necessarily have predicted before.

3 For instance, we can go -- we can look back
4 at clinical data and could have predicted, within a
5 year and a half after the COX-2 inhibitors were on the
6 market, that myocardial infarction would be an adverse
7 event from COX-2 inhibitors because with machine
8 learning, as you interrogate it, the rules bubble up
9 and you start seeing myocardial infarction associate
10 with people who are on COX-2 inhibitors. It wasn't
11 predictable at the time. It wasn't in our bias at the
12 time. But it certainly comes true.

13 Similarly, with COX-2s, you can go in and
14 predict with about 75 percent accuracy the people who
15 are going to have an MI before they take the first
16 pill, just based on clinical data.

17 Thirdly, you can go in and in a reverse way
18 ask, of those people who are taking clopidogrel, for
19 instance, what associates around the patients who are
20 on clopidogrel. And one of the things that associates
21 around them fairly early on is patients who are taking
22 clopidogrel and also a metrazol and stroke.

1 So that these types of things, we have these
2 databases extant. So I don't think we have to
3 necessarily recreate these types of databases. I
4 think we just need to organize and effectively
5 validate and get much more experience with some of the
6 very effective machine learning tools that are
7 currently out there.

8 I think that this entire approach here is
9 prescient, and is -- I applaud it because of the
10 approach of beginning to take an organized way of
11 doing discovery on adverse events in a nonbiased way.

12 DR. VENITZ: Dr. Barrett next.

13 DR. BARRETT: I think I actually gave my
14 comments in the previous section, as you're looking at
15 me confused. That's why.

16 DR. VENITZ: Dr. Lesko next.

17 DR. LESKO: Yes. The comment I want -- it
18 sort of builds on what Dr. Caldwell just mentioned.
19 We don't think you need to build a database. We have
20 a contract which we've already announced publicly, so
21 it's in the public domain, with a company called
22 Biovista. And they make something called the Biolab

1 Experimental Assistant.

2 You're right in the context that this is
3 extremely bio-informatic based. But one of the things
4 we hope to do is connect databases in a way that's
5 unique, i.e., can you take a smart system such as the
6 Biovista, which connects, really, concepts and ideas
7 based on information in the public domain. I think it
8 sort of analyzes in a meta-analysis way as many as
9 23 different databases of pharmacology, toxicology,
10 pathways, et cetera, and combine that with databases
11 that are uniquely regulatory, i.e., the NDA database,
12 or the post-marketing surveillance database, or even
13 some of the strategic adverse event consortia data,
14 which gets information on toxicity down to the patient
15 level, and begin to interrogate that combined
16 database.

17 That being said, what we find in the early
18 going is kind of what Dr. Caldwell said. You know,
19 you look at -- the user of the approach is very
20 critical here because the bio-informatic piece is just
21 a piece of computer software, if you will. But
22 looking at it in an intelligent way and, I think, in a

1 clinical pharmacology/medical pharmacology way is
2 really going to be key. And you need to be able to
3 look in all domains of therapeutics, not just what
4 you're used to, whether it be cardiovascular or neuro.

5 So the examples that have been published,
6 acknowledging that these are not peer reviewed by
7 people that have used this system include things like,
8 can you predict with Tysabri before the event occurs,
9 that this drug would activate the BK virus and cause
10 PML? There's some evidence that that in fact was
11 done. Can you predict adverse events of a class of
12 drugs in oncology that were related to a five-year
13 time period and accurately predict those events in a
14 high percentage of cases? These are the kind of
15 things, if you want to think about it in a more
16 futuristic way, that we're trying to sort of explore
17 the possibility of. And again, this has already been
18 done and presented, at least in abstract form. So we
19 find that kind of appealing.

20 So the twofold approach here, if you think
21 about it, is to test prospectively hypotheses that
22 might be done pre-marketing and then to explore

1 potential hypotheses post-marketing when events occur.
2 And that gets back to this forward and reverse
3 pharmacology/medicine approach that I was talking
4 about.

5 DR. VENITZ: Dr. Kearns, I had you next.

6 DR. KEARNS: I don't think I have any other
7 questions or comments that haven't already been said.

8 DR. VENITZ: Okay. Dr. Mager?

9 DR. MAGER: Thank you. This is a very
10 exciting and timely approach. But I think we're also
11 in the very early stages of being able to do some of
12 these things. We talk a lot about bio-informatics,
13 and although the systems biology tools are there, we
14 really have no clue about linking this with clinical
15 pharmacology and linking with macro-scale PK/PD.

16 About a year and a half ago, there was a
17 meeting at the NIH to discuss this very topic. And
18 they had to quickly close off the attendance because
19 it was overrun very quickly. Everyone wants to know
20 how to do this. And there's going to be another
21 meeting this year to address this.

22 It was very interesting, though. We had a

1 very nice spectrum of tools that were provided, but I
2 don't think anyone went home to do anything
3 differently.

4 The point is, how do we begin to integrate
5 some of these ideas? The very first question of that
6 meeting was asked by an analytical chemist. And he
7 stood up and said, what do I need to measure? And
8 after a very long and awkward silence, they went on to
9 the next question. And that is the point, I think,
10 that Dr. McLeod was bringing up earlier as well, is
11 what are the sponsors going to need to measure?

12 But I don't think that should be the focus.
13 The point is, really, as you've nicely pointed out,
14 Dr. Abernethy, is the focus on pathways. And the fact
15 is that we already know quite a bit about molecular
16 interactions that already exist in the literature.

17 If I could rephrase your question, it is
18 not, what is the best way; it's what are the best
19 ways? And we have to keep in mind that we really have
20 to utilize an entire spectrum of approaches. And that
21 can change quite a bit, depending on the goals and
22 objectives of a particular analysis. It can also

1 change on the available data.

2 You may have very qualitative data up front
3 for some very specific systems. And there are tools
4 available to address those types of systems --
5 discrete dynamic, Boolean networks, et cetera. So
6 there are a lot of machine learning and other
7 approaches that were mentioned earlier that can be
8 used in those qualitative stages.

9 But I applaud your focus on pathways because
10 it lays down the platform, all right, the structure
11 that these qualitative measures could be brought in at
12 in the beginning. But it evolves, then, to add in
13 sort of the ordinary differential equations, the
14 kinetics that we eventually come to learn through
15 collaborations with academic scientists, industry
16 scientists, et cetera.

17 So I'll leave it at that.

18 DR. VENITZ: Dr. Giacomini?

19 DR. GIACOMINI: Yes. I like this approach.

20 I like the futuristic look into mechanisms and
21 relating mechanistic clinical pharmacology to the
22 epidemiologic surveillance that goes on at FDA. I

1 think it can be very powerful.

2 I also want to point out that, you know, you
3 can -- there's a lot of information in the genome-wide
4 association studies that can be used to inform the
5 epidemiology and the biostatistics people as to what
6 to look out for.

7 I mean, a good example is the GWAS hit on
8 OATP1B1 for statin-induced myopathies. There you
9 could predict -- you might not have genetic
10 information, but you certainly would know people on
11 drugs that inhibit OATP1B1. And therefore, those
12 people would be at risk.

13 So mining the GWAS data along with the
14 pathway data would be, I think, excellent for
15 integrating the mechanistic clinical pharmacology with
16 your post-marketing surveillance.

17 DR. ABERNETHY: Agreed completely. The kind
18 of mining that Larry was mentioning essentially would
19 include anything that's in the published literature.
20 So that, we hope, will get us to those kinds of
21 associations and then to formulate.

22 I'd react to that and then some earlier

1 comments. A huge piece, really, is to have
2 sufficiently characterized phenotypic information so
3 that one can then look at the associations that are
4 made and test. When there was talk about, well, you
5 didn't talk about proteomics or metabolomics, and
6 others talked about the shifting phenotype, well, I
7 think that's all part of the equation, and what I see
8 as one of the big challenges.

9 Because when one goes to an electronic
10 medical record, there is varying amounts of real
11 characterization of that patient. And so we have to
12 think and evolve how to best do that.

13 DR. VENITZ: Dr. Thummel?

14 DR. THUMMEL: Darrell, yes. Thinking about
15 the pre-clinical domain and the idea of linking
16 chemical systems biology with biological pathways, I
17 obviously think that's a tremendous idea. But I
18 wonder if you could elaborate on what your
19 expectations are or envision that developing into.

20 Is it going to go beyond, you know, current
21 structural alerts in terms of a chemical structure, or
22 effector screening panels that are run, to something

1 more robust that involves a full structure/activity
2 relationship between chemical structure and possible
3 effect or interactions? And then, also, what do you
4 envision the role of the sponsor is going to be in
5 developing that database?

6 DR. ABERNETHY: I'll take the second part
7 first. That's easier.

8 We're learning that a number of sponsors are
9 very active in this area right now. And we look to
10 collaborate with those sponsors, we hope, in the so-
11 called pre-competitive arena so that we can really
12 work together in a scientific collaboration to move
13 that piece forward.

14 Now, how far can it go? Well, you know, I
15 think there, you're tying in a time frame. If you'd
16 say, what can we do in six months or what can we do in
17 a year, I think that those will be probably fairly
18 rudimentary sorts of linkages.

19 But thinking down further, really, you know,
20 we're only limited by the science of linking those
21 kinds of databases and those kinds of approaches
22 together. And so I hesitate to give you a clearer

1 time frame, but, you know, I'm an optimist at heart.
2 I think the sky is really the limit. But we just have
3 to get the pieces of science in place.

4 I am very encouraged that within, as I say,
5 particularly industry but some in academics as well,
6 there are isolated nodes of really exciting activity.
7 And I'm hoping one role we can play is bringing in a
8 collaborative cross-talk to really move the area
9 forward faster. That's a general optimistic comment.

10 DR. VENITZ: Dr. Flockhart?

11 DR. FLOCKHART: One tiny and very obvious
12 point. But just to follow up on the last points about
13 the quality of the data in the database, I think a
14 message, really big message, that has to go out is the
15 quality of the medication data is critical to this.
16 In many of the epidemiologic studies that we have
17 related to GWAS where we're looking for disease
18 prognosis, you know, the actual importance of
19 medication data, I think, has been underplayed a huge
20 amount.

21 But actually finding ways that we can
22 improve medication data in large databases I think is

1 really a critical thing to understanding toxicities,
2 understanding the role of genomics, and all these
3 other things as well. And it's a huge hole. We're
4 not emphasizing it enough, and as a result, we're
5 missing a lot.

6 DR. ABERNETHY: I think --

7 DR. VENITZ: Dr. Lertora?

8 DR. ABERNETHY: Oh, pardon me. I think our
9 hope is that we'll be looking at specific databases
10 that have the richest information possible. For
11 example, we're encouraged by the kinds of databases
12 that may exist in other countries that have different
13 health care systems.

14 But within the United States, there are
15 selected providers that really do have very
16 comprehensive sorts of what I'd call phenotypic-
17 including drug exposure information that we really
18 want to hone in on. And we're hopeful that by
19 selecting very carefully, that we can at least address
20 that issue.

21 DR. VENITZ: Dr. Lertora?

22 DR. LERTORA: Again, I think this is a very

1 interesting and potentially very useful concept to
2 pursue in terms of toxicity and adverse drug
3 reactions.

4 I just want to comment in terms of
5 opportunities for interactions and access to databases
6 and other potentially useful information that, as you
7 are probably well aware, there are initiatives at the
8 National Institutes of Health, for example, in terms
9 of quantitative and systems pharmacology.

10 Certainly toxicity of drugs is in that
11 general paradigm, and also initiatives that link to
12 the National Chemical Genomics Institute that also
13 have implications along this type of conceptual
14 approach.

15 So I think there are many opportunities, and
16 we have great potential to advance the field in this
17 area.

18 DR. VENITZ: Dr. Caldwell?

19 DR. CALDWELL: One of the other small points
20 that I wanted to make is that the process of machine
21 learning is it goes through a database, spits out
22 rules, and then there needs to be some sort of an

1 interpreter, usually a physician, that takes a look at
2 those and see if the rules make any sense.

3 But your pathway database is an excellent
4 integration tool for that process. It's a very
5 effective way of taking the rules that are coming out
6 of a machine-learning approach to a database and then
7 being able to come up with hypotheses as to what's
8 actually going on in that situation. It's a wonderful
9 mix.

10 DR. VENITZ: Any other comments before we
11 move on to our next topic?

12 [No response.]

13 DR. VENITZ: Okay. Then I think we've
14 finished topic No. 2, and we're getting ready to start
15 our topic No. 3. And I think Dr. Huang will introduce
16 that topic.

17 DR. HUANG: Thank you, Jurgen. As Dr. Lesko
18 mentioned earlier, that in 2008 we did publish a
19 concept paper on pharmacokinetic and dose adjustment
20 in renal impairment where we talk about study design.

21 After that meeting, besides the comments
22 that we received from the committee, we also received

1 some public comments. And so we worked on the
2 comments and we have another guidance as a draft,
3 which I was told by Mimi Phan it was just published
4 and put online about 30 minutes ago.

5 [Laughter.]

6 DR. HUANG: So this is an update of our 1998
7 guidance. So I'll tell you what are the major
8 recommendations as compared to '98 guidance. So
9 everyone would have copies outside if you don't have
10 it. We're waiting for it to be online before we can
11 release the slides.

12 So the major changes are -- this is what you
13 discussed:

14 Recommending renal impairment studies for
15 drugs that are eliminated via non-renal route in
16 addition to those via renal route, which we already
17 recommended in the '98 guidance.

18 We have discuss the MDRD equation, and here
19 we are recommend that GFR staging the categorized
20 patients by both estimated GFR -- that's based on MDRD
21 equation -- in addition to estimated creatinine
22 clearance based on CG equation, Cockcroft-Gault.

1 The third point is, we are highly
2 recommending studies in dialysis patients both on and
3 off dialysis. Based on our survey of newly approved
4 NDAs from 2007 to 2009, we only have about 44 percent
5 that have conducted hemodialysis studies, when they
6 could have useful information in what does dialysis do
7 to the new molecule.

8 So I will focus on the first point. We know
9 that if drugs are cleared renally -- for example, we
10 put out the first two compounds in the table, and the
11 definition of drug that's cleared renally is 30
12 percent that is excreted of the drug excreting change
13 in the urine. And you can see that there are some
14 relationship between the area under the curve and the
15 renal function. And this is based on our 1998
16 categorization. So we have creatinine clearance more
17 than 80, 50 to 80, 30 to 50, and 10 to 30. And you
18 can see there are both relationships between the GFR
19 estimated by the CG equation and the area under the
20 curve. And then accordingly, we have made
21 recommendation on dose adjustment.

22 However, we also found, for drugs that are

1 not renally cleared -- here again, definition is
2 percent excreted unchanged in the urine is less than
3 30 percent -- and you can see that we don't usually
4 see a change in the area in the curve, or systemic
5 exposure in renal impairment, unless the patients are
6 under a severe category.

7 So based on that, in our current guidance
8 that's just released, we recommended the study design.
9 We could use a reduced study design. And what that
10 meant is here there are five categories. So we have
11 control, mild, moderate, severe, and end stage renal
12 disease patients.

13 Under ESRD, we have patients less than 15 ml
14 per minute. And I have listed eGFR and CLCR, so I
15 have MDRD and a CG equation side by side. And I'll
16 explain that more later. But we separate out our
17 patients on dialysis and patients not yet on dialysis.

18 So our recommendation for reduced study is
19 to compare two groups, the control group versus the
20 severe group, ESRD. And we believe with the worst
21 case scenario, it would apply to drugs that are
22 eliminated via non-renal route because we usually do

1 not see changes in mild/moderate unless there's either
2 severe or end stage on renal disease.

3 We also recommend full study design if this
4 drug is eliminated via renal route, based on our
5 definition. However, the sponsor can choose to do a
6 reduced study because oftentimes we don't know all
7 bioavailability, and we weren't sure whether this drug
8 is -- when you cannot calculate the percent excreted
9 and changed in the urine because you have to correct
10 for bioavailability.

11 So they could do a reduced study, look at
12 the worst case, the extreme cases of renal function.
13 Then if it's positive, then you add the intermediate
14 group, the mild, moderate and severe group.

15 So essentially, we have come up with a
16 decision tree, which is a slight modification than
17 what we have presented two years ago. So we say if
18 the drug is for single use, it's volatile inhalation,
19 it's not likely to be used in renal-impaired patients,
20 then we will say you would not need to do a study.
21 However, if there are chronical use, oral, or other
22 parenteral route and it's very likely to be used in

1 the target population who have renal impairment, then
2 we look further the route of elimination.

3 So again, if this is renally -- mainly
4 renally eliminated, then we say, we recommend a full
5 study. But the sponsor has a choice to do a reduced
6 study first and then, depending on the outcome, they
7 can do the intermediate.

8 For a drug that's non-renal -- that's on the
9 left side of the screen -- then we recommend to go
10 ahead and do a reduced study. If it's negative, then
11 we label as such. If it's positive, which will be
12 depending on the study outcome here, we say depending
13 on the magnitude of the systemic is changed, exposure
14 change, and also exposure/response relationship, then
15 we may ask the sponsor to go back and do a full study.
16 Then depending on if again, for certain groups, there
17 is no change in systemic exposure, then we'll label as
18 such. And if there's a need, then we label.

19 Look at the drugs that we have approved
20 recently -- 13 drugs that are eliminated via renal
21 route, based on our definition of 30 percent. All 30
22 percent has -- all these 13 drugs has changes in

1 systemic elimination, in concentration. And we have
2 dose recommendation for them.

3 For the other drugs that are relabeled as
4 non-renally eliminated, we found that more than
5 40 percent, they have a change in systemic exposure in
6 the most severe group that the sponsor has studied.

7 Later on, the discussion with the committee
8 will be what would constitute the worst group when we
9 do the reduced study? Is it end-stage renal disease
10 patients not yet on dialysis? Is it end-stage renal
11 disease patients on dialysis? And our current
12 recommendation in the guidance is end-stage renal
13 disease patient not yet on dialysis.

14 So the second question -- and we did discuss
15 two years ago, again, is about recommendation of GFR
16 staging, either using estimated GFR -- that's the by
17 MDRD equation -- in addition to estimated creatinine
18 clearance by the CG equation.

19 Just to remind you, there are three ways
20 that we have seen, methods in the submission, either
21 direct measure of creatinine clearance, which we don't
22 see as often. A CG equation is what we've seen have

1 the most in our recent submission. And this equation
2 was derived from 249 men with 24-hour creatinine
3 clearance that ranged from 30 to 130 ml per minute.
4 And that's back in 1973.

5 We have seen increasingly use of MDRD
6 equation, and we have received a lot of requests from
7 the sponsor. Can we use MDRD to categorize our
8 patient when we conduct renal impairment studies?
9 Because the values are readily available, especially
10 when you conduct large-scale study or when you do
11 population studies, the MDRD is a common value that
12 they receive. So they have asked us.

13 The MDRD equation, again, is derived from a
14 large study where they're evaluating modification of
15 diet in renal disease population. So it involved 628
16 patients with chronic kidney disease in 1999. And
17 then it was re-expressed in 2005 because they have
18 used -- the investigator has used a new method which
19 does not have interference -- that the color,
20 methodology that was used before, which overestimated
21 the creatinine level.

22 So here, just a quick review of what the CG

1 equation look like and what the MDRD equation looks
2 like. Both have age and gender in the equation. The
3 MDRD equation has evolved from six to four parameters,
4 and the latest one, which I cited here from the Kidney
5 Foundation, it included not only age, gender, but also
6 race.

7 Just note that the unit from CG equation is
8 ml per minute. But for MDRD, it's 1.73 meters
9 squared. So if you want to be very precise, it's not
10 an average patient; with different body surface area,
11 then you would need to correct for that number in
12 order to get ml per minute when you do a dose
13 adjustment.

14 This is just -- and we actually have the
15 author in the audience -- I mean, on the committee.
16 It's a comparison of the CG equation versus MDRD. On
17 the left panel, it's a comparison of using MDRD
18 equation compared to a gold standard. And this is
19 using iothalamate to estimate GFR. The right is the
20 CG equation.

21 Here, it shows 88 percent of MDRD
22 variability can be explained by this correlation. On

1 the right, CG equation is 83 percent. When you look
2 at the percent, the proportion of the data that's
3 within 30 percent of the standard by iothalamate, 90
4 percent of the MDRD estimate has that. On the other
5 hand, if you look at CG, the proportion of the data,
6 based on CG and within 30 percent of the value by
7 iothalamate, is 60 percent. So this is only one of the
8 data that's been published recently.

9 So because in our '98 concept paper, and
10 also we publish FDA's comments in November issue of
11 Clinical Pharmacology and Therapeutics, we have
12 received a lot of comments from individuals who
13 support MDRD and individuals who support CG. And then
14 we do have one expert on each on our panel today.

15 In addition, Dr. Shen Xiao has helped me
16 collect all the information about who supported MDRD
17 and who supported CG. So you can look at -- National
18 Kidney Foundation indicated that among adults, the
19 MDRD study equation may perform better than CG.

20 The American Society of Nephrology, American
21 Association of Clinical Chemistry, American Diabetes
22 Association, and College of American Pathologists,

1 National Kidney Disease Educational Program, they all
2 support MDRD. In addition, the College of American
3 Pathologists indicated, based on their survey,
4 70 percent of the clinical labs right now report out
5 MDRD.

6 However, American Heart Association's recent
7 publication, and also a lot of other publication,
8 including pharmacy community, have indicated CG and
9 MDRD may provide different dosing recommendations. So
10 we need to be aware of this, especially for drug
11 that's already approved and is labeled, and the dosing
12 recommendation was based on CG equation. Even the GFR
13 estimation based on creatinine clearance was based on
14 the old method of creatinine assay.

15 We understand that there are major
16 limitations of both equations. It would not work well
17 with patient with low muscle mass; a low meat diet;
18 patients with rapidly changing kidney function;
19 patient with estimated GFR more than 60 -- you might
20 be able to see some of the publications that show once
21 the patients are more than 60, the estimation is not
22 as accurate; patients with concomitant medication that

1 may modify creatinine production and elimination.

2 So there are other suggestions that we need
3 a better equation -- not MDRD, not CG, not creatinine-
4 based. Maybe we use iothalamate; there are some
5 suggestion. And I look at the EMEA guidance which was
6 finalized in 2004. They didn't mention use iohexol as
7 the standard for doing the correlation between
8 pharmacokinetics and GFR.

9 Although we did talk to our colleague at
10 EMEA: Even it is in the guidance and so far they have
11 not receive any that's used iohexol. Many of them are
12 starting to use a combination of MDRD, which means
13 they express ml per minute by 1.73 meters squared.
14 But it's a mix of CG and MDRD. I don't want to speak
15 for them, but I have seen some application which
16 submitted both to the FDA and the EMEA.

17 Finally, the major recommendation from our
18 current guidance is that we would like to recommend
19 more studies be conducted in patients on dialysis.
20 Based on our survey, there are drugs where we believe
21 dialysis will affect its pharmacokinetics. But the
22 studies were not done, and so we don't have any

1 information that we could put in the labeling.

2 Whether we have patients who are on
3 hemodialysis, so Dr. Shen Xiao provided me this list,
4 look at the recent survey. You can see that patients
5 on hemodialysis, the upper blue curve, and the green
6 curve is on peritoneal dialysis. So we have more than
7 300,000 patients here -- this is based on 2005 -- on
8 hemodialysis. So it's very important that we
9 understand whether we dose optimally for patients that
10 are on hemodialysis.

11 In addition, with some manipulation of this
12 data, Dr. Shen Xiao also indicated that for patients
13 that are not yet on dialysis, the estimate is probably
14 around 20,000 patients. And his communication was
15 from VA hospitals. There are also patients that are
16 not yet on dialysis, so which to indicate that if we
17 recommend to study the worst case scenario, the
18 patients may be available for study.

19 So our proposed recommendation, just to
20 summarize, for what drugs are renal impairment studies
21 needed? We indicated that renal studies need to be
22 conducted for drugs that are not renally eliminated,

1 in addition to drugs that are renally eliminated,
2 which is already in our 1998 guidance. And we have
3 developed a decision tree to include a study design.

4 Renal function, we believe, could be
5 evaluated by eGFR based on MDRD, and creatinine
6 clearance based on Cockcroft-Gault equation. We have
7 told -- we actually have several cases already where
8 we have told the sponsor that they can use either,
9 although most of sponsor come in to say, can we use
10 MDRD?

11 So we say, well, you could. But when you
12 analyze the data and you're trying to find a
13 relationship between the change in pharmacokinetic
14 systemic exposure, you do both correlation so we can
15 get an idea of how would they look like.

16 This is a table that we recommend, possibly
17 to express if you use -- for example, you're looking
18 on the left side. That's estimated GFR based on MDRD.
19 So we put out five categories, although in ESRD, we
20 did separate out patient not yet on dialysis and the
21 patient on dialysis. Then the right side is estimated
22 creatinine clearance based on CG. Here I listed --

1 the dosing recommendation is the same, but they may be
2 different. It really depend on the data. And we have
3 this draft guidance, and we hope the sponsor will do
4 the analysis. So we have more data to tell us how
5 different would these two be -- based on those two
6 analysis, how different will be the dosing
7 recommendation?

8 A lot of publications right now that's being
9 published, and in addition FDA have quite a few
10 research projects ongoing, is to look at marketed
11 products while we have that in the labeling, and then
12 recalculate. However, we know that those creatinine
13 clearance was not as accurate because of the
14 creatinine serum assay was -- and we really are not
15 sure which assay was used. So we hope that, going
16 forward, we will have data to help us estimate and
17 come up with a better recommendation later.

18 So our third recommendation is, ESRD
19 patients need to be studied. We would like to study
20 patients, ESRD, not yet on dialysis to provide the
21 worst case scenario. We also want to study patients
22 ESRD on dialysis, but both on and off dialysis, so

1 that we have an idea how the dialysis affect the drug
2 disposition. And we have provided a decision tree.
3 Again, this is for patients not yet on dialysis so we
4 can get an idea of how renal impairment affects drug
5 exposure.

6 So I would like knowledge. This is a very
7 difficult decision. We have a lot of different
8 opinion on not only what populations should be used to
9 find out the worst case scenario, but also whether to
10 use MDRD and CG. And we have comments from both
11 sides, and they're all very passionate so we have to
12 discuss. And the same thing within our working group,
13 that we all have very different opinion; and
14 acknowledge Office of New Drugs, cardio-renal
15 division.

16 We have two medical officers in our working
17 group. On Office of Pharmaceutical Science, this is
18 Dr. John Strong; before he passed away, he has
19 contributed greatly on the mechanism of how renal
20 impairment affects metabolism. We have various
21 individuals who come to the FDA on sabbatical. Dr.
22 Art Atkins has visited us several times, and Dr. Ken

1 Thummel, also here. Dr. Gil Burckart, who actually
2 now is part of FDA; and other individual team leader,
3 Mike Neely; Office of Medical Policy, although I have
4 to mention that Dr. Temple now is not with that
5 office. He's the deputy center director for clinical
6 science. And Janet Norden helps us with the labeling
7 language, and in particular, the table that we have
8 proposed. So they have said, if this is useful, based
9 on the public comments, then that's what we will go
10 ahead.

11 I would like to acknowledge Shen Xiao from
12 the cardio-renal division. Most of the slides on MDRD
13 and CG were prepared by Shen.

14 Just very quickly, I want to talk about
15 question for the panel. So the first question is for
16 a reduced study. We have proposed to conduct a PA
17 study comparing the exposure of drug or active
18 metabolite between a control group with a renally
19 compromised group -- and that's patients with end-
20 stage renal disease not yet on dialysis, in order to
21 provide a worst case scenario.

22 So our Question No. 1, which will need your

1 voting is, is it feasible or necessary -- or we can
2 refine it to, is it feasible and necessary to recruit
3 ESRD patients not yet on dialysis who may represent
4 the worst case estimate and increase in exposure? If
5 your answer is no, then we'll continue to ask, if it's
6 not necessary or feasible to recruit the study ESRD
7 patients not yet on dialysis, what other patients with
8 compromised renal impairment should be enrolled to
9 provide the best estimate of worst case scenario?

10 So Question No. 2. In 2008, many of
11 committee members may remember, they voted MDRD as the
12 preferred method for renal function classification.
13 Now knowing that the MDRD equation has evolved and
14 there are many other opinions from various
15 communities -- from pharmacy community, clinical
16 medical community -- we propose that since MDRD and CG
17 are both being used to a great extent, so our proposal
18 is that when sponsor conduct a study, both eGFR, using
19 MDRD, and estimated creatinine clearance based on CG,
20 be presented. And if there's necessary to change a
21 dose, then present it such as this table.

22 So the question for the committee is, do you

1 agree that this type of table is the best way to
2 present these data and would provide clear
3 recommendation to providers? So that's a voting
4 question. And if you say no, will this presentation
5 of renal impairment group and associate dosing be
6 confusing? So even if you say yes, this is going
7 forward for drugs that we are reviewing right now.
8 But for marketing drug, would that be confusing in
9 terms of dosing adjustment for older drugs that's
10 already on the market where most of the studies and
11 recommendations are based on CG equation?

12 Thank you. That's my two questions.

13 DR. VENITZ: Okay. Thank you, Shiew Mei.

14 Any clarification questions? Please refrain
15 from discussing the questions that we are going to
16 discuss after the lunch break. So are there any
17 comments or clarification questions that you may have
18 for Dr. Huang? Oh, go ahead.

19 DR. CALDWELL: Shiew Mei, thank you. I just
20 want to, for point of clarification -- these methods
21 that you have told us about and are in your guidance
22 are only for adults. So is there a reason to

1 stipulate that?

2 Secondly, with regard to children, when kids
3 are beyond about 18 months of age, their renal
4 function physiologically is normal unless they have
5 some renal disease. But the use of estimated GFR in
6 the context, especially of a phase 2 PK study in kids,
7 can be very, very useful and informative.

8 So should the agency provide some specific
9 guidance that would be applicable to companies who
10 undertake studies in pediatric patients, but that
11 would be accurate with respect to methods of obtaining
12 the information?

13 DR. HUANG: Right. In our current guidance,
14 we did discuss patients that are obese, pediatric
15 patient. And we have recommended different formula to
16 use for pediatrics, although we do not foresee that we
17 will see renal impairment studies be conducted in
18 pediatrics during the regular submission time.

19 So we did not discuss further on what
20 equation. But we did say, if you want to do your
21 dosing recommendation, there's certain equation that
22 we use, and it is in our guidance. Thanks.

1 DR. VENITZ: Any other questions?

2 [No response.]

3 DR. VENITZ: Okay. Then let's proceed with
4 our next speaker. And that's Dr. Richard Lalonde from
5 Pfizer. And he's going to give us, I'm assuming, big
6 pharma's perspective.

7 DR. LALONDE: Good morning, everyone. I'd
8 like to thank Shiew Mei actually for the invitation to
9 participate in the meeting here today. And thank you
10 to the committee to indulge me here and to provide a
11 perspective on pharmacokinetic studies in patients
12 with renal impairment.

13 I just have about 15 minutes, so I'll go
14 relatively quickly, a quick overview. I'll discuss
15 the decision tree that was just discussed by Shiew
16 Mei. I'll discuss also some practical aspects of
17 studies in patients with renal impairment and dosage
18 recommendations, focus a little bit on learning versus
19 confirming approaches in these types of studies, and I
20 will also touch on the modification of diet in renal
21 disease study, or MDRD and the Cockcroft and Gault
22 equations, and especially how we want to use them for

1 the purpose of making dose adjustments.

2 So this was the decision tree that was just
3 presented. I won't spend too much time on it, but
4 just suffice it to say that in general, I guess in my
5 humble opinion, it certainly would support the points
6 that are being made here.

7 I'm not sure you can see my pointer, but the
8 evidence is pretty compelling now on the changes in
9 pharmacokinetics in renal impairment for drugs that
10 are mainly cleared by non-renal route. So we've seen
11 this in literature. We've seen this in some of our
12 own recent studies. So I think this is actually quite
13 compelling.

14 The question that I'll come back to later,
15 exactly, is the study population for that reduced
16 study because I think there are some practical issues
17 that I want to bring up for the committee here. And
18 I'll discuss a little bit about the other arm of that
19 decision tree for the cases for drugs that are
20 eliminated mainly by the renal route.

21 Just to give you an idea of the typical
22 demographics in these studies, I just pulled this from

1 one of the studies we did a few years ago that's in
2 the public -- that's published. So first thing to
3 emphasize is the -- so this is a so-called full study
4 across the different groups, and including
5 hemodialysis patients.

6 So the first thing to emphasize is the fact
7 that these sample sizes are typically small per group.
8 So where that leads to, is that often you may see some
9 inconsistent results when comparing means across
10 groups. As you all can appreciate, these small
11 groups, you'll have more extreme values that don't
12 always line up perfectly well. You may see sometimes
13 the moderate group that seems a little bit out of
14 synch with either the severe or the mild.

15 One thing that we see once in a while is
16 also the healthy group that will be out of synch with
17 the historical data. We may have data on a hundred
18 subjects, healthy subjects, from prior studies, and
19 the six or eight or ten in the study may be a little
20 bit unusual.

21 So as was recommended in the guideline,
22 going back to 1998 actually, we are strongly

1 advocating the use of regression approaches,
2 essentially a learning type of analysis, to look at
3 this, where you can look at all the data as opposed to
4 looking just pair-wise comparisons, and actually will
5 even include population PK data from other studies to
6 help provide more accurate estimates of the impact of
7 renal function and to develop dosing guidelines.

8 One thing that I do want to emphasize is
9 that patients with end-stage renal disease, or with
10 eGFRs less than 15 mls per minute, are very likely to
11 be on dialysis based on the typical standard of care.
12 So in this study here, just to bring to your
13 attention -- I don't know if you see the little
14 highlight I have on the right there. For the patients
15 in the less than 30 mls per minute, according to the
16 '98 guidance, the average creatinine clearance -- that
17 was done by Cockcroft and Gault in this case -- was 23.
18 We had one subject, one subject out of eight, that was
19 actually less than 15. That was actually at 10,
20 estimated creatinine clearance at 10.

21 So it's going to be a real challenge to try
22 to find those kinds of patients. I also looked across

1 several of our studies recently, and the most recent
2 one that we completed did ten subjects in that low
3 creatinine clearance group. And that takes us about a
4 year to conduct.

5 The real recruitment challenge is not to
6 find the patients with relatively mild impairment or
7 healthy people; the severe group took us a year, and
8 again, the mean creatinine clearance in that group was
9 about 20, and we had one individual again in less than
10 15. So you can do the math in terms of what are the
11 practical implications of trying to find these
12 relatively rare birds.

13 This is to come back to another point about
14 how we often know quite a bit about our drugs before
15 we do the renal study. So this is from a commentary
16 that John Wagner and I wrote last year at, actually,
17 Shiew Mei's invitation relating creatinine clearance
18 to pregabalin clearance on the Y axis.

19 This is just to give you an idea that you
20 sometimes have lots of data before you do this renal
21 study. So we have a mixture there of healthy
22 volunteers, patients. We actually very early on knew

1 that this drug was excreted unchanged in urine to a
2 very significant extent.

3 So you can see that there's often very clear
4 evidence that renal function would affect PK, and that
5 the reduced study in those subjects in these cases
6 would not be necessary and is very -- expected to be
7 positive. Actually, it's about -- we can be as sure
8 as anything we can be in science that this would be a
9 positive study, if we were to do the reduced study.
10 So what we typically do in this case is move directly
11 to the full study, as Shiew Mei indicated. And this
12 was actually the full study that was done, again
13 relating creatinine clearance on the X axis, estimated
14 by Cockcroft and Gault, with the drug clearance on the
15 Y axis, so showing again the typical type of
16 relationship here.

17 Again, emphasizing the regression approach
18 where we tried to use all of the data from that study
19 to do a so-called learning analysis as opposed to
20 emphasizing too much the pair-wise comparisons, where
21 we can sometimes be fooled. And not to emphasize this
22 too much, but we also in this study looked at patients

1 on dialysis. So this is where subjects were given the
2 drug pregabalin, given a dose of pregabalin here, and
3 eventually, about 24 hours later during their
4 hemodialysis period, you see the very efficient drug
5 removal of doing this particular hemodialysis.

6 So coming back to the decision tree, again
7 this is not really rocket science here. But just to
8 emphasize that it's great to have this option for the
9 renally-eliminated drug to go both ways.

10 As Shiew Mei indicated, I think we will
11 almost in every case go right to the full study
12 because we typically have enough information -- even
13 if we don't know the fraction excreted unchanged,
14 we'll have enough scatter of our data from our early
15 studies to look at the impact of renal function. So
16 that will be the desired path for us to take because
17 the other path would essentially be a little bit more
18 time-consuming for us and less efficient.

19 So I'd like to discuss now an area that's
20 going to be -- probably raise some passion in the
21 audience or in the committee, to look at methods to
22 estimate GFR. So as you all know, both these methods

1 are used to estimate renal function and glomerular
2 filtration by using different markers. It's useful to
3 remember that, you know, obviously Cockcroft and Gault
4 was designed to help predict creatinine clearance. In
5 the case of the MDRD, it was iothalamate that was the
6 marker of GFR. So both are essentially models to help
7 predict the observed, if you wish, experimentally-
8 determined clearance of these markers.

9 So as we all know, all models are wrong.
10 Some are useful. So the debate essentially lies in,
11 you know, how predictive are these different methods.
12 And we have some experts here in the room that can
13 comment on this.

14 A key difference that was mentioned by Shiew
15 Mei is that the MDRD study equation, the eGFR is
16 reported in mls per minute per 1.73 meters squared,
17 whereas Cockcroft and Gault is reporting mls per
18 minute. That may sound like a very subtle difference,
19 but I think it is quite important for the purpose that
20 we want to use it for here.

21 The MDRD equation was originally developed
22 to help stage renal disease, and eGFR is now commonly

1 reported by clinical labs, as we heard earlier. So
2 there's no need for clinicians to know the complex
3 exponential equation that was developed for this
4 purpose. Standardization for body size is actually
5 appropriate for this purpose. You -- it makes a lot
6 of sense, actually, to standardize for body size. You
7 don't want to start comparing people with vastly
8 different body sizes for the purpose of staging renal
9 disease.

10 However, what is needed for dosage
11 recommendation is the patient-specific eGFR or index
12 of renal function and not the standardized value to a
13 typical body size of 1.73 meters squared. So just as
14 a reminder in terms of -- I'll spend a couple minutes
15 here talking about this potential confusion.

16 The MDRD study investigators used the Dubois
17 and Dubois method to estimate body surface area. You
18 all remember the 1916 paper, I'm sure. But it's
19 actually very commonly quoted in the literature, a
20 method of estimating body surface area, shown here on
21 the slide, this exponential function. There are
22 nomograms out there that have been developed based on

1 this.

2 So just as a reminder, the MDRD study
3 equation was based with -- what was experimentally
4 determined was the GFR, using iothalamate. Then this
5 was multiplied times 1.73, divided by the Dubois and
6 Dubois estimated body surface area, and the model was
7 developed to then help predict this standardized GFR
8 to 1.73 meters squared.

9 So clinicians using MDRD will therefore need
10 to estimate the BSA using the above equation in order
11 to calculate the eGFR for each patient for dose
12 adjustments. So essentially what we need to do is to
13 unstandardize, if you wish, the eGFR for each patient.

14 So, for example, what we'll need to do is
15 take the eGFR that's reported by clinical laboratories
16 and then multiply that times the BSA estimated by, for
17 example, this equation, divided by 1.73 meters
18 squared. Given that this unstandardization is not
19 needed for Cockcroft and Gault, there is the potential
20 for confusion by clinicians who may not realize the
21 difference.

22 So I've just pulled a couple of things from

1 the literature just to highlight some of this. The
2 very nice paper by Dr. Stevens from last year that
3 compared these dosing recommendations by these
4 different methods, there was a nice, interesting
5 exchange. But the comment there is just for your
6 information.

7 It says essentially, "Calculating BSA in
8 clinical settings is inconvenient and unlikely to
9 occur. Without that correction, significant dosing
10 errors might occur."

11 There was a very interesting and, I think,
12 very appropriate, I felt, point/counterpoint in the
13 November issue of CPT that Shiew Mei and, I think, Art
14 Atkinson worked on together. And actually, this
15 point/counterpoint I think illustrates or puts
16 together very nicely the different arguments on this
17 debate.

18 One of those comments from those papers --
19 again, it says, "If applied clinically" -- this is
20 about the MDRD now -- "would require the clinician to
21 calculate BSA using an exponential equation" --
22 sorry -- "an equation requiring weight and height

1 raised to an exponent, thus negating the applicability
2 of the value that can be automatically reported by the
3 laboratory." Again, keep in mind that we get this
4 value from the laboratory. It's nice and simple. But
5 this is the part that will be necessary to essentially
6 use the patient-specific eGFR.

7 So again, in my humble opinion, I don't
8 think we want to use the eGFR in terms of mls per
9 minute per 1.73 meters squared as the basis for dose
10 recommendations. Actually, I don't think there's any
11 debate on this. When you look at the literature, when
12 people have compared these methods, they do this
13 unstandardization. So it's done correctly by the
14 investigators.

15 So again, just to beat this point further,
16 two patients could have very different actual GFRs and
17 dosage requirements even though their MDRD-reported
18 eGFRs from the laboratory will be identical.

19 Even if the discrepancy will be relevant
20 only for patients with BSA significantly different
21 from 1.73 meters squared, you still have to do this
22 BSA calculation to know that. You can't just take a

1 guess at that.

2 Other potential areas of concern -- there's
3 some limitations of MDRD that were mentioned by Shiew
4 Mei. And in fairness, there's similar limitations to
5 Cockcroft and Gault, as I said. These are both models
6 to try to estimate renal function. And as far as some
7 of the limitations of MDRD, attempts have been made to
8 try to correct them with the latest version that was
9 published just this past year, the so-called CKD-EPI
10 updated equation that I presume eventually could
11 become the new standard and replace MDRD. Maybe we'll
12 hear about this from the experts in the room.

13 So implications for renal impairment studies
14 -- so the FDA, as we just heard, is proposing to use
15 both Cockcroft and Gault and MDRD in these studies.
16 And actually, this is not a problem. So my comments
17 that I'm making here are really -- have nothing to do
18 with the conduct of the PK studies as we do them in
19 renal impairment.

20 We can do this. People have done these
21 types of studies. This will be generally manageable.
22 And since we understand the science and the

1 mathematics involved, people can do the right
2 determinations.

3 The categories of renal impairment should be
4 based on patient-specific eGFRs, not standardized to
5 1.73 meters squared. We'll need to specify which
6 method is the primary one. I'm talking about the two
7 methods of renal function estimation here for the
8 purpose of the renal function categories because of
9 the expected discrepancies between MDRD and Cockcroft
10 and Gault. Again, that's not an advantage or
11 disadvantage of either method. It's just that they
12 won't give identical answers. There will be
13 occasionally people that will fall in different
14 categories. And we just want to specify this ahead of
15 time. Again, that's not a real major issue.

16 What's probably more important is that an
17 appropriate number of patients across the full range
18 of renal function is more important than the cutoffs
19 for mild, moderate, and severe because again, we're
20 trying to estimate that relationship. We want to have
21 a broad enough distribution of renal function with
22 adequate sample size to characterize that

1 relationship.

2 So in summary, the proposed decision tree is
3 a positive step. De facto renal impairment on the PK
4 drugs, including drugs eliminated mostly by non-renal
5 mechanisms, I think sounds very reasonable. The need
6 to study end-stage renal disease patients defined by
7 GFR is less than 15 mls per minute. Patients not yet
8 on dialysis, will be challenging and given the typical
9 standard of care.

10 I said "may" there and I think I can
11 probably make that a little bit more definitive based
12 on -- as I looked at our past experience with studies
13 and spoken investigators that may be in the room who
14 are at centers -- basically, centers that do these
15 studies all the time. The question essentially is,
16 you know, is there evidence with multiple drugs that
17 these patients produce significantly different results
18 from end-stage renal disease patients on dialysis, but
19 studied in between dialysis periods, of course? And
20 looking at this literature, in my humble opinion, I
21 think it's actually very scant.

22 So I think we want to maybe take a look at

1 that evidence before we put a burden that could really
2 mean that these studies could take multiple years to
3 conduct.

4 The option to go directly to the full study
5 will generally be more efficient for renally
6 eliminated drugs instead of the sequential path, with
7 the reduced study followed by the full study. I think
8 I made that point earlier. There should be caution in
9 interpretation of differences in means between renal
10 function groups with small sample sizes, again using
11 this learning-regression approach.

12 The MDRD eGFR needs to be unstandardized for
13 BSA in order to get the patient-specific eGFR to be
14 used in pharmacokinetic studies like we're talking
15 about here, categories of renal impairment, and dosage
16 recommendations. And I think there's a real potential
17 for confusion and error in the clinical application of
18 dosage recommendations based on MDRD versus Cockcroft
19 and Gault. Whichever method, if we're going to
20 propose both, I think we need to pay very special
21 attention with how we're going to handle this,
22 especially these differences in units between the two.

1 That is, I believe, all I have.

2 DR. VENITZ: Thank you, Rich.

3 Any clarification questions? Dr. Harralson?

4 DR. HARRALSON: I would assume that we're
5 talking about the effect of renal clearance on the
6 clearance of the drug. But if you bring in the issue
7 of transporters, I think the evidence would be, you
8 may not see a big change in transporter function until
9 you get down to the very low renal function. So you
10 might miss that if you were simply looking at the
11 regression that did not include end stage.

12 DR. LALONDE: Absolutely. I think that's a
13 very good point. And I think for the so-called
14 reduced study -- is what you're talking about -- then
15 you're studying the two extremes. The question of --
16 all we're talking about exactly is what is that
17 extreme for the patients with renal insufficiency? I
18 agree.

19 DR. VENITZ: Dr. Barrett?

20 DR. BARRETT: Rick, I think a very, very
21 compelling presentation. I appreciate it. I think,
22 in general, for a renally impaired drug, the

1 regression approach is right on.

2 But in Shiew Mei's data, she kind of showed
3 the difference between -- okay. Other people might
4 not. Anyway, with the renally impaired and non-renal
5 impaired, she has more of a step function in terms of
6 the end-stage renal disease as opposed to -- so, you
7 know, the comments you make in terms of the small
8 group size, I think, are right on.

9 But I'm curious, in your experience, when
10 you look back at some of your historical data, have
11 you seen that kind of relationship where you might
12 make a case for the reduced study design, where you
13 could make a better comparison between a control group
14 and an end-stage renal disease, where that kind of
15 continuity or the continuum doesn't exist?

16 DR. LALONDE: I think I understand your
17 question. Let me try to take a shot at it.

18 So I agree with what Shiew Mei presented. I
19 think we -- I mean, sometimes you don't have the
20 luxury of both data sets. If you do the reduced
21 study, all you have are the extremes.

22 DR. BARRETT: Right.

1 DR. LALONDE: When you do the full study,
2 you will have, as Shiew Mei showed -- we do that most
3 often for renally eliminated drugs and don't do that
4 as much for drugs that are -- at least not in the
5 past -- for drugs that are mainly eliminated by non-
6 renal mechanisms.

7 You know, if you look at the slides Shiew
8 Mei showed, there was -- you know, there's one there
9 that showed a bit of a trend in the middle group. So
10 again, I would just say that you might want to be
11 careful looking just at means.

12 I agree that the regression approach -- the
13 effect is only at the extreme -- would not be the
14 desired approach. So that, in my case, was -- my
15 point was mainly to be careful when we look at -- for
16 drugs that are eliminated by renal mechanisms and to
17 look at the totality of the information.

18 But non-renal mechanisms, I think the
19 reduced study sounds reasonable. But I guess I'm kind
20 of going on and on here. I don't think I have data to
21 help address the other point that you made.

22 DR. BARRETT: Okay.

1 DR. VENITZ: Dr. Lesko.

2 DR. LESKO: Rick, in your analysis of your
3 data from your database, did you see -- did you look
4 at drugs that are cleared, let's say, mostly by
5 filtration as opposed to those that are cleared by
6 filtration plus other mechanisms? And whether or not
7 estimates of clearance, for the purposes of dosing,
8 differ between these two different equations?

9 If we had such drugs, would we have
10 discrepancies? Could we pinpoint discrepancies that
11 one's going to expect based on renal mechanisms by
12 using both of these equations? And is it possible to
13 think about where one might work better than the
14 other?

15 DR. LALONDE: Right. I think the short
16 answer to your question is I don't -- I have not
17 looked at that. We definitely have looked at drugs
18 that are actively secreted and, you know, those tend
19 to track nicely with the overall index of GFR, the so-
20 called intact-nephron hypothesis, you know, that as
21 basically you're losing filtration, you're losing also
22 the ability to secrete.

1 There's some discrepancies about this.
2 Maybe there are experts in the room that can comment
3 on this better than I can. But I don't have the data,
4 again, to -- I've not seen the data to address the
5 point as to whether one method would be preferred.
6 For example, because creatinine is secreted, to say
7 that would be a better marker for drugs that have some
8 active secretion, I would be surprised if we find that
9 kind of evidence. But maybe I can be surprised.

10 DR. VENITZ: Dr. McLeod?

11 DR. MCLEOD: In the context of comparing
12 different equations, do you feel like we're trying to
13 be too quantitative or too precise? It just strikes
14 me that we're trying to have fancy equations, and then
15 we bin people into four groups. Maybe we should look
16 at being less precise for the purposes of the spectrum
17 that we're trying to look at for drugs.

18 DR. LALONDE: Yes. Many of you know that
19 I'm kind of a modeling type of person. I'm very
20 quantitative. I'm very impressed with the nice work
21 that's been done by the MDRD investigators. It is
22 actually cool science.

1 I accept your point, though, I guess, is
2 that as I said earlier, all models are wrong. Some
3 are useful. We're trying to get a measure of renal
4 function. When you really look at these two methods,
5 they're a lot more similar than they are different in
6 terms of what we're going to end up with in terms of
7 categories.

8 There are some discrepancies, and I'm
9 concerned, to be honest, more than anything else, with
10 the point that I made about the -- say we're trying to
11 fine tune certain things. People say, well, there may
12 be a slight advantage of one versus the other in this
13 setting or that setting.

14 I'm more concerned with people that will
15 forget to do the BSA adjustment. That could be a very
16 significant problem. If you have someone who is --
17 you know, with a BSA or 2.1 meters squared versus
18 someone with a BSA of 1.4 meters squared, that will be
19 a very significant potential problem.

20 DR. MCLEOD: And a BSA of 2.1 would be a
21 small person in today's America.

22 DR. LALONDE: [Laughs.] No comments.

1 DR. VENITZ: Dr. Dowling?

2 DR. DOWLING: Dr. Lalonde, thanks very much
3 for your excellent presentation. You showed some very
4 nice relationships between drug clearance and
5 creatinine clearance, that relationship when
6 creatinine clearance is estimated by the Cockcroft and
7 Gault method, in your particular cases.

8 I was just curious on your perspective.
9 Cockcroft and Gault clearly has been used over the
10 years. There's a lot of controversy in terms of which
11 weight to use in that equation. I was just curious,
12 in your perspective, how you address that issue
13 generally?

14 Is there a cutoff in terms of using ideal
15 body weight versus actual? Or is it generally -- you
16 know, obviously the original equation was based on
17 actual, and there's been many studies since to show
18 that, you know, in obesity, there are some adjustments
19 that probably should be made.

20 I was just curious on your perspective in
21 terms of that, showing nice relationships.

22 DR. LALONDE: Right, right. Okay. Good

1 question. As you can imagine, when we select subjects
2 for these studies, we probably are not selecting the
3 extremes of the distribution of body weight. So that
4 doesn't really pose much of a problem for most of us
5 in these studies, at least in my experience.

6 When we've done the correction you're
7 talking about, it doesn't really make that much of a
8 difference because we're not dealing with people who
9 are, you know, 150 kilos or, for example, where there
10 would be, you know, a real issue with using total body
11 weight for, let's say, Cockroft and Gault.

12 DR. DOWLING: Thanks.

13 DR. VENITZ: Dr. Kearns?

14 DR. KEARNS: Rick, I'd look at you but I
15 can't talk into this thing and look at you at the same
16 time.

17 DR. LALONDE: I'm seeing your best side
18 here, actually.

19 [Laughter.]

20 DR. KEARNS: I know. I know. Other people
21 have said that.

22 It probably goes without saying,. But since

1 I do pediatrics, I'm going to say it again. This is
2 all really good unless you're small. And the reason I
3 bring it up is you brought out the point of confusion,
4 and that's very, very important in the context of a
5 clinical trial.

6 I can't tell you how many times we receive a
7 protocol from a company. Of course, none of the
8 companies that may be here today are guilty of this.
9 But we receive a protocol from a company that, in
10 essence, the word "adult" has been taken out and
11 "child" has been put in it.

12 So all of the methods that, you know, the
13 FDA puts in the guidance that says, these are our
14 standard approaches, they wind up in the protocol.
15 And it really causes some of the sponsors a great deal
16 of confusion when we come back to them and say, well,
17 I know this works for adults, but for kids, we have to
18 normalize this. You have to use this equation.

19 So, you know, I would implore this group and
20 the agency as decisions are made about refining what
21 these documents say, to make sure those distinctions
22 are crystal clear. That will improve doing these

1 studies in children.

2 DR. LALONDE: Good point.

3 DR. VENITZ: Any other clarification
4 questions? Last one, Ed.

5 DR. CAPPARELLI: Yes. I think one of the
6 differences that's implied, but I think we need to
7 explicitly think about, is the assumption there that
8 size is critical. It's critical for us in pediatrics
9 because, as Greg points out, in the dosing for one
10 method versus the other. Because if you're saying
11 that we need to normalize, if we have a drug that we
12 do feel that we do need to normalize based on size in
13 an adult population, then doing that normalization
14 step is necessary.

15 But if we're talking about a situation
16 where, for the standard population with normal renal
17 function, we aren't adjusting for size, then I think
18 really looking at sort of the grade of renal function
19 might be the approach. So we really do need to make
20 that distinction. Where is the variability coming
21 from? Do we need to make these distinctions at that
22 point for the sake of simplicity?

1 DR. LALONDE: Yes. That's a very good
2 point. Indeed, I've thought about this a great deal,
3 and when you think about it, the purpose of these
4 tables that were shown is to individualize drug doses.
5 We're trying to use a covariant renal function to
6 adjust doses based on that patient.

7 So it seems a bit counterintuitive to me to
8 say I'm going to use a standardized measure of renal
9 function when I'm trying to individualize doses based
10 on index of renal function. So just to me -- I agree
11 with you that, you know, for the -- obviously, if
12 somebody is close to the average, this is not going to
13 be a problem.

14 You want to be careful. And I'm just
15 concerned. We get reports at times that people find
16 our dosing guidelines like this confusing, a
17 relatively simple table. So I just want to make sure
18 that whatever we decide here, whatever you decide,
19 whatever the agency, we can comply. This is not the
20 issue.

21 All I'm trying to do is to make sure,
22 remembering my clinical pharmacy days, of the types of

1 errors that exist or occur quite commonly.

2 DR. CAPPARELLI: Just one follow-up point
3 for the group. We also then need to be very careful
4 on how we dose-reduce because the issue of -- you
5 know, as you go down, if we say that size is important
6 when incorporating into that component, then
7 changing -- extending the dose, which is a common
8 approach to reducing the dose in renal function, may
9 not be the same approach that we would use in a
10 smaller patient.

11 DR. LALONDE: Good point.

12 DR. VENITZ: Mr. Goozner?

13 MR. GOOZNER: Forgive me if this sounds a
14 little ignorant because as the consumer representative
15 on this committee, sometimes I always wonder what I do
16 or don't know.

17 But I get the point that it's very difficult
18 to find people who have very low renal function and,
19 you know, sort of difficult to recruit, and people who
20 are on dialysis are much easier to recruit.

21 But also, I thought I read in the background
22 documents that the average patient on dialysis is

1 taking something like ten drugs. So I'm curious if
2 there's a real difference in the number of people --
3 the number of drugs being taken by people who are not
4 yet on dialysis and on dialysis, and if that might
5 have some impact on the results.

6 DR. LALONDE: Well, you raise a good point.
7 These patients are not, you know, clean the way we --
8 when we do healthy volunteer studies. And that's
9 just -- what we try to do essentially is try to avoid
10 drugs that are -- you know, mechanistically we would
11 see as would impact the PK of the drug that we're
12 trying to evaluate. And that's just the nature of
13 these kinds of studies. We just have to deal with
14 that.

15 But to your point, maybe there's -- I'm sure
16 there's better experts than I am in the room. By the
17 time someone's GFR gets down to 15, these people have
18 significant renal impairment. When people get on
19 dialysis, they may get some other treatments. But
20 they're not going to be clean patients one way or the
21 other.

22 DR. VENITZ: Any other clarification

1 questions?

2 [No response.]

3 DR. VENITZ: If not, then let's take an
4 early break, and let's reconvene at 12:25. Again,
5 just a reminder for the committee members: Please do
6 not discuss any of those topics outside the realm of
7 our panel discussions.

8 [Whereupon, at 11:24 a.m., a lunch recess
9 was taken.]

10

11

12

13

14

15

16

17

18

19

20

21

22

23

A F T E R N O O N S E S S I O N

DR. VENITZ: Okay. Welcome back.

What I'd like to do is, before we start the panel discussion and the voting on the specific questions that we are asked to vote on, I'd like to finish the presentations. And we are now moving into a new topic, topic 4, the drug transporters. And our first presentation is Dr. Zhang, who's going to give us the intro.

DR. ZHANG: Thank you, Dr. Jurgen, and good afternoon. We have heard a lot of presentations this morning, and we kind of consistently heard a message. That is, it's very key to understand the inter-individual variability during the drug development, as early as possible, in order to manage those inter-subject variability in the clinical setting.

We know many factors could affect the inter-individual variability, both intrinsic and extrinsic factors. And this afternoon, we are going to focus on one of the very important extrinsic factors, that is, the drug/drug interaction that could affect a drug's exposure as well as response, both favorably or

1 unfavorably.

2 In the past, a lot of focus has been on the
3 cytochrome P450 mediated drug interactions. We have
4 learned a lot in the past, and also in the 2006 draft
5 FDA interaction guidance has talked about a decision
6 tree or some thought process on how to focus on the
7 major cytochrome P450s in order to evaluate the drug
8 interaction early in the drug development phase and
9 learn how to manage them. And we all know, and we
10 also heard, transporters many time today because
11 transporters has also been found to be very important
12 in determining a drug's pharmacokinetics through the
13 absorption, distribution, metabolism, as well as
14 excretion process.

15 These transporters are mainly memory-bound
16 proteins that could either facilitate a drug's access
17 to the cell, that is, uptake transporters, or limit
18 the access to some certain tissue, such as efflux
19 transporters may do.

20 So they are very important in not only
21 determining the pharmacokinetics of a drug, but also
22 in many cases, they are also determining a

1 pharmacodynamic reaction, such as by governing the
2 delivery of the drug to the site of action, and also
3 control the tissue concentration. In some cases,
4 transporters themselves could be a drug target,
5 delivery target.

6 So we know transporters, along with
7 metabolite enzyme, could contribute to the variability
8 in drug concentration and the response. For some
9 drug, transporters could be a very important component
10 for that determination, and by not considering drug
11 transporters during drug development, may lead to
12 unexpected toxicities or drug/drug interactions later
13 on.

14 So this diagram just shows you the selected
15 key transporters that express in the important
16 absorption as well as elimination organs in the body,
17 mainly the gut wall, liver and the kidney. As we all
18 know, the liver and kidney -- or liver and intestine
19 are the major organs that express various metabolism
20 enzymes. And now we also know that these tissues also
21 express various transporters, both on the apical side
22 of membrane as well as basolateral side of membrane.

1 Here, I just show you the major efflux
2 transporters that take the drug outside of cell,
3 namely multi-drug resistance protein 1, also known as
4 P-glycoprotein, and also breast cancer-resistant
5 proteins. Both of these efflux transporters have been
6 shown to be very important in limiting a drug's
7 absorption when the drug encounter them in the
8 intestine cells.

9 Also, we have found that there are many
10 important uptake transporters, which located on the
11 basolateral side or the cells that could help or
12 facilitate drug uptake into important organs such as
13 liver. That is a major site for metabolism to be
14 happen, and also that could be a drug target site for
15 certain drugs such as statin drugs.

16 The main uptake transporters in the liver
17 are organic anion transporter, protein polypeptide
18 OATPs. And in the kidneys, similarly, we also have a
19 lot of transporters expressed on the basolateral side
20 which function in the taking the drug from the blood
21 into the cell and later get excreted into the urine.
22 And the main ones are organic cation transporters as

1 well as organic anion transporters.

2 Also, we have seen in the literature or
3 during the post-marketing drug approval phases,
4 there's many reported drug interactions that cannot be
5 explained by cytochrome P450 needed drug interactions
6 such as are listed in this table. We know many of
7 these affected drugs or those substrate drugs are not
8 metabolized, mainly metabolized by cytochrome P450s.

9 So the interaction we saw here by the
10 interacting drugs cannot be explained by the P450
11 needed interactions. And then later, based on in
12 vitro studies, they were found to be likely needed by
13 transporters such as P-glycoprotein as well as organic
14 anion, OATP, or organic cation transporter, OCTs. And
15 the consequence of this interaction could be ranged
16 from twofold to sevenfold.

17 So by looking at those drug interactions, we
18 are thinking whether we can use similar strategies we
19 use for cytochrome P450 needed drug interaction
20 evaluation, that is, to incorporate the in vitro tools
21 early on to help us either identify or prioritize the
22 drug interaction we need to be considered later on

1 during the drug development phase, or prior to the
2 drug get approval to the market.

3 As Larry mentioned this morning,
4 transporter, although it's emerging and a new area,
5 but we have been discuss about it and being watched
6 for its development since 2003. We have been
7 discussing at the AC meeting since 2003. And in the
8 past seven and eight years, there has been a lot of
9 new development in the area.

10 So we today, we just want to see whether
11 there are enough clinical evidence or enough tools
12 which will allow us to put into a systemic way to
13 study the transporters more systemically during the
14 drug development. And also, we know from the
15 literature that many transporters are there, and maybe
16 not all of them are important in terms of drug
17 disposition and drug interaction.

18 So the key question is which transporters we
19 should be focused on that are clinically important and
20 should be considered for evaluation during drug
21 development. And today, I will post two questions to
22 the committee, mainly focused on for drug -- new

1 molecular drug entity as a substrate or as an
2 inhibitor for transporters.

3 As we all know, there could be two separate
4 considerations here because for drug that is a
5 substrate, they may not necessarily be an inhibitor
6 for certain transporters, and vice versa. So we do
7 need to consider them separately and may develop
8 different decision tree or thought process for these
9 two kind of -- these two parts of the drug interaction
10 evaluation.

11 Since the 2006 AC, in that AC we mainly
12 focus on -- because we just published a draft drug
13 interaction guidance which we mainly focus on the P-
14 glycoprotein. But we also want to see what other
15 transporters we should also be considered based on the
16 available literature, data, and the reported drug
17 interactions and adverse event cases.

18 So in 2007, the International Transporter
19 Consortium was formed, mainly from experts in both
20 academia, industry as well as FDA. Actually, today we
21 have at least four members in the audience. We have
22 Kathy Giacomini and Shiew Mei Huang. They are both

1 co-chairs for International Transporter Consortium.
2 And we have myself, and also Dr. Joe Polli as a member
3 for the consortium. We have had very many discussions
4 since 2007. And the ITC held a FDA critical path, and
5 Drug Association Information Society sponsored a
6 transporter workshop. And following the workshop, the
7 ITC group developed a transporter white paper, which
8 was just published in March of this year in Nature
9 Reviews Drug Discovery, March issue.

10 We pose very similar question to the ITC,
11 such as, what are the major transporters that we
12 should be considered, and also, what tools we can be
13 use to study them, and what are the decision tree we
14 should be made during the drug development process.

15 Since many rigorous discussions, the group
16 reach a consensus to focus on the seven major
17 transporters, which are the P-gp, BCRP, two of the
18 OAT, OCT2, and OATP 1B1 and 1B3. The group thought
19 that these seven transporters represent the -- based
20 on current data, maybe represent the most important
21 ones we should be considered.

22 Subsequently, the group discussed what are

1 the drug development issues by considering those
2 transporters could help to address, and also what
3 other decision trees should be used in order to
4 decide. Based on in vitro tools and other totality of
5 the data, we may conduct specified drug interaction
6 studies to understand those drug interaction
7 potential.

8 Now I'm just going to focus on how those
9 seven transporters may play a role in drug absorption,
10 distribution and the excretion. First is the
11 intestine, which is an important organ for drug
12 absorption. In intestine, we mainly focus on the
13 efflux transporters, as I mentioned early, P-
14 glycoprotein and the BCRP.

15 They are very important in limiting the oral
16 absorption of the oral drugs, and by inhibiting those
17 efflux transporters, can cause increase in drug blood
18 levels. And we already see in the literature ,the
19 particular examples that are thought through P-gp or
20 BCRP inhibition. Digoxin, that drug is very well
21 known, and for BCRP, the clinical evidence is the
22 GF120918 inhibit topotecan PK. And also there's a

1 2.44 increase in topotecan exposure.

2 Now we move to the drug elimination organ
3 such as liver. The focus is on both the uptake and
4 also the efflux transporters. The uptake transporters
5 mainly are the OATP 1B1 and the 1B3. They found it to
6 be very important for determining the PK of the one
7 particular -- especially the statin drugs. They are
8 found to be substrate for those transporters. By
9 blocking those transporters, you will see not only the
10 increase in the PK, and because the site of action is
11 the liver, you may also affect their efficacy. In
12 terms of efflux transporters, those MDR1 and BCRP are
13 very important in the liver.

14 So in terms of the OATP interaction, the
15 magnitude could be very large, such as I mentioned
16 early. The cyclosporine could increase rosuvastatin
17 exposure by sevenfold. And more recently, we found
18 that HIV protease inhibitors are also inhibitor for
19 OATP and causing twofold increase in rosuvastatin
20 exposure.

21 In the kidney, the main uptake transporters
22 are the organic cation transporter as well as organic

1 anion transporter, mainly OCT2, OAT1, and OAT3. And
2 again, the efflux transporter, P-gp, are thought to be
3 important in the renal clearance of drugs.

4 By blocking those uptake transporters, again
5 you will see the increase in blood levels. And this
6 organic anion and organic cation transporter
7 inhibition has long been observed and reported in the
8 literature. And until more recently, when the in vitro
9 assay is available, then we tease out which OCT and
10 which OAT are responsible for those reactions.

11 So now we moving from learning from the CYP
12 experience. That is, there are many drug
13 interactions. However, if you understand the CYP, you
14 may be able to help you predict the drug interactions.

15 So now we add the transporter into the
16 picture by understanding both enzyme and transporters
17 that may be involved in the ADME process. And the
18 potential for a drug to be either a substrate
19 inhibitor or inducer for those process, we might be
20 able to help predict the potential for drug
21 interactions. And I envision this as an iterate
22 process. So you will use in vitro models and tools to

1 help predict in vivo DDI studies. But many times, you
2 may observe the in vivo DDI studies first. So you
3 will use the in vitro tool to help you understand or
4 explain what you have observed in vivo.

5 Then, later on, you may apply this knowledge
6 into another drug on the board which were found in
7 vitro to be either substrate or inhibitor. And that
8 knowledge can help you predict what maybe happen.

9 Using rosuvastatin and cyclosporine
10 interaction as example, so we already know
11 cyclosporine could increase rosuvastatin exposure by
12 sevenfold. And along the years, the possible
13 mechanism of inhibition by cyclosporine were studied
14 in vitro.

15 Initially it was found it could be OATP1B1
16 mediated because cyclosporine is an inhibitor for
17 OATP1B1 and rosuvastatin is a substrate for that
18 particular transporter. And more recently, it was
19 found also 1B3 may be responsible based on in vitro
20 results. Then, later on, BCRP, which is the efflux
21 transporter, also found to be play a role because both
22 rosuvastatin and cyclosporine interact with this

1 transporter. So in order to understand the in vivo
2 interaction we observe, we know probably all of these
3 transporters may play a role. By blocking them,
4 that's how maybe you can explain the sevenfold
5 exposure of rosuvastatin.

6 So learning from that example, we can use
7 that knowledge to project the OATP and BCRP-based
8 interaction. For example, cyclosporine as an
9 inhibitor, they could inhibit other OATP or BCRP
10 substrate. And this knowledge was used in a new
11 developing statin drug, that is, pitavastatin.

12 It is found to be an in vitro substrate of
13 OATP1B1, 1B3, and BCRP. And the sponsor conduct a
14 drug interaction study during the drug development and
15 found that cyclosporine increased pitavastatin
16 exposure by 4.6-fold. So this information was
17 obtained before the drug is on the market, and based
18 on that particular drug, the recommendation is to
19 counterindicate the use of cyclosporine with
20 pitavastatin.

21 From the rosuvastatin data, we know
22 rosuvastatin is going to be inhibit because it's a

1 substrate for OATP and BCRP. So for new drug that's
2 going to inhibit OATP or BCRP, we probably would
3 anticipate a drug interaction. This is a newly data
4 we just obtained in the literature, that is
5 lopinavir/ritonavir, was observed to increase
6 rosuvastatin exposure by twofold. And the in vitro
7 data indeed found that lopinavir is a very potent
8 inhibitor for OATP 1B1 and 1B3.

9 Many times we know in order to understand
10 the in vivo clinical significance of drug
11 transporters, you would need in vivo data to study the
12 role of transporter in the disposition of a drug. And
13 typically that can be determined by either genetic
14 studies, as we heard this morning, or specific
15 inhibitors.

16 But many times, specific inhibitors may not
17 be available. So the in vivo significance of
18 transporters maybe rely on the polymorphism of the
19 genes, if that exists, and to conduct comparative PK
20 studies in people with gene of normal function versus
21 the reduced absent functions.

22 So for OATP and BCRP and the P-gp, their

1 polymorphism has been described in the literature. In
2 this recent review by a Finland group published in the
3 Clinical Pharmacology and Therapeutics in the January
4 issue of this year, they summarize what's in the
5 literature and very nicely show -- because we know,
6 even studying drug, they are all OATP substrate -- the
7 relative contribution of OATP and possible other
8 transporters could be different.

9 So by using those comparative PK data, we
10 can estimate what the relative contribution of either
11 OATP1B1, BCRP, which is the red bar here, and blue
12 bar, which is P-gp on a disposition of a particular
13 statin drug.

14 From this graph, we do recognize there's a
15 different effect -- the statin drug. For example, for
16 rosuvastatin, both BCRP and OATP are seems to be
17 important in the disposition of rosuvastatin. But for
18 pitavastatin, OATP1B1 seems to be more important. And
19 for simvastatin, P-gp -- all of three transporters
20 play a role, and P-gp and OATP1B1 have a bigger
21 effect.

22 So this knowledge, coupled with what we

1 know, those statin drugs also are differently
2 metabolized by various cytochrome P450, including
3 CYP3A4, CYP2C8, and CYP2C19. So we know the relative
4 contribution of each transporter or enzyme on the
5 disposition of statin drug, as an example, is
6 different.

7 It's going to be dependent on the inhibitor
8 specificity for those transporters. And the enzymes'
9 interaction with different statin may be different.
10 And this information will be very useful for the
11 practitioner. When they look at those data, they
12 might decide what co-med they can give to a particular
13 patient, or when they select which statin drug to be
14 given to a particular patient who is already on other
15 medications.

16 So with that, I would like to present to you
17 our current proposal on how to evaluate new molecular
18 entity, either as a substrate or inhibitor for
19 transporters, and how to use that knowledge to direct
20 the further drug interaction studies.

21 So for new molecular entity, we would like
22 to put them into three different categories. For all

1 NME, we would like to propose to determine whether
2 they are either P-gp or BCRP substrate. The reason is
3 because the BCRP and the P-gp are express in various
4 tissues and could affect a drug admin process.

5 Based on the in vitro data to show whether
6 they are a substrate or not, then there will be
7 further decision tree to decide whether there's a need
8 for in vivo studies. And I'm not going to go through
9 those, the blue box here. And it was expressed. The
10 proposed decision tree was published in the
11 transporter white paper, which was just published in
12 March of this year.

13 For OATP transporters, the decision will be
14 mainly depend on the drug characteristics, what it is
15 going to be eliminated through the body. If it is
16 hepatic or biliary secretion is the major route, then
17 it will be very reasonable to consider determining
18 whether this new molecular entity is OATP1B1 or
19 OATP1B3 substrate. And then there will be a separate
20 guideline on what in vitro data will prompt you to
21 study the in vivo drug interaction studies.

22 Also, in order to study a drug as a

1 substrate for OAT or OCT, the renal -- whether the
2 renal active secretion is major is a consideration;
3 need to be considered before we conduct a study to
4 determine whether new molecular entity is OAT1, OAT3,
5 or OCT2 substrate. And based on the in vitro results,
6 further decision could be made in determining whether
7 in vivo studies are needed.

8 So for substrate, it will all depend on the
9 characteristics, both physical, chemical, as well as
10 pharmacokinetic characteristics of a substrate in
11 determining which transporters you need to consider
12 during drug development.

13 The next is the decision tree for evaluating
14 of NME as an inhibitor for those seven major
15 transporters. That is mainly to understanding the
16 potential effect of those new molecular entity on
17 other drugs.

18 So for a new molecular entity, the decision
19 is mainly going to be decided by the therapeutic area
20 and the likely co-medications. And for P-gp, BCRP,
21 and OATP and OATP1B3, we would recommend to study all
22 new molecular entities as an inhibition potential for

1 those four transporters because these four
2 transporters will transport a vast majority of the
3 medication going to be given by a patient population.

4 Also again, the blue box will represent the
5 further decision tree, based on the in vitro data, in
6 order to determine the need to conduct in vivo
7 studies.

8 And for OAT and OCT, we use similar strategy just in
9 order to determine whether -- for OAT mainly is also
10 to determine whether NME is likely to be
11 co-administered [inaudible] with the known anionic
12 drugs that are known to be substrates for either OAT1
13 or OAT3; and the example given here, methotrexate,
14 tenofovir and acyclovir.

15 If the answer is yes, we would recommend to
16 determine in vitro whether the new molecular entity is
17 an inhibitor for either OAT1 or OAT3. And for organic
18 cation transporter, it was found that metformin is a
19 substrate for OCT2, so if your drug is likely to be
20 co-meds with those known cationic drugs -- the one
21 example given here is metformin -- then there's a
22 reason to determine whether an NME is an inhibitor for

1 OCT2.

2 There's a further decision tree based on the
3 in vitro data to determine the need to conduct in vivo
4 studies. Also, since the emerging research in the
5 transporter area, this table just lists you the
6 examples of the drugs that are known to be transporter
7 substrates based on the literature search, both in
8 vivo data, as well as sometimes when the substrate is
9 not specific for that particular transporter, the in
10 vitro data used to confirm those drug substrate for
11 that particular transporter.

12 As we can see, there is overlapping in terms
13 of a transporter, that they can interact with
14 different transporters. Methotrexate is one of the
15 example. They found it to be a substrate for BCRP,
16 OAT1, and OAT3. And similarly, those tyrosine kinase
17 inhibitors such as lapatinib was found to be a
18 substrate for both P-gp as well as BCRP.

19 The statin drugs, as I mentioned earlier,
20 some of them are substrate for all three transporters,
21 including BCRP, OATP1B1, and OATP1B3. And some statin
22 drug is also a substrate for P-glycoprotein.

1 In terms of the drugs as transporter
2 inhibitors or inducers, we also see a lot of examples,
3 in particular for P-glycoprotein, that has been
4 studied the most. And we found there's overlapping
5 inhibitor selectivity for transporters as well.
6 And cyclosporine has been found to be a multi-
7 inhibitors, inhibitors for multiple transporters,
8 including P-glycoprotein, BCRP, OATP1B1, and OATP1B3.
9 In terms of inducers for transporters, many examples
10 exist in the P-glycoprotein area. And it was found
11 that those inducers have a lot of overlapping with
12 cytochrome P450 3A inducers because they act on a
13 similar mechanism in activation of the PXR receptor.
14 As an inducer, I didn't -- inducer for other
15 transporters, currently the knowledge is lacking in
16 human.

17 So how we translate the information into the
18 labeling that the physician or the patients can use
19 that information to manage the transporters needed
20 by -- or drug interaction needed by transporters?
21 This is just a quick survey on the currently approved
22 drug that has transporter information in the labeling.

1 They are not expressed in a very consistent
2 way. Some of them state that they are substrate.
3 Some of them state they are inhibitor. Some labeling
4 did state they are known substrate or known inhibitor.
5 And again, we see P-glycoprotein is the one probably
6 studied the most. That's why we have the most
7 information on the drugs that have been looked at.
8 And we also see other transporters, six transporters
9 we mention earlier, including another one, MRP, also
10 has been mentioned in the labeling.

11 For example, recently we have an update on
12 the labeling for atorvastatin, one of the statin drug
13 that was found to be a substrate for OATB1B1. And I
14 will show you the example later. And cyclosporine, as
15 I mentioned earlier, is found to be an inhibitor for
16 multiple transporters. However, in its own label,
17 there's no such information. But it was mentioned in
18 other products' labeling, such as in the atorvastatin
19 label.

20 There's one recently approved drug,
21 eltrombopag. This drug was found to be an inhibitor
22 for OATP, and it was put in the "Highlights" session.

1 And I also will show you the labeling examples.

2 So clearly we need consistency in how to
3 express the transporter information in the label. And
4 also, another survey we did internally is by looking
5 at the new molecular entity approved from 2004 to
6 2009, and we found that about 38 percent of the oral
7 route drug contained transporter information. So we
8 know those transporter has been studied, either based
9 on the literature information or during the drug
10 development.

11 So this is just to quickly show you the
12 label example of atorvastatin, and that the
13 information was contained in the drug interaction
14 section by saying that atorvastatin and atorvastatin
15 metabolite are substrates of the OATP1B1 transporter,
16 then further saying that inhibitors of OATP1B1, for
17 example cyclosporine, can increase the bioavailability
18 of atorvastatin.

19 That later section also describe how big
20 that interaction is, which is by increasing. And also
21 there is a significant increase with Lipitor. And
22 also, there's a labeling recommendation in terms of

1 those adjustment. Atorvastatin should not exceed
2 10 milligram.

3 This is the substrate example. So the next
4 one is the example on OATP1B1 inhibitor. Eltrombopag
5 is found to be an OATP1B1 inhibitor. And the labeling
6 says that it can increase the systemic exposure of
7 other drugs that are substrate of this transporter.
8 And the label also give a few example of a known
9 OATP1B1 substrate.

10 Furthermore, the sponsor conduct a clinical
11 drug interaction study with rosuvastatin, and found
12 that rosuvastatin AUC was increased by 55 percent, and
13 that the language was also in the highlight section to
14 say that they need to be use caution when concomitant
15 administer this drug with drugs that are substrate of
16 OATP1B1, and the patient should be monitored closely
17 for signs and symptoms of excessive exposure of the
18 drug that are substrates of OATP1B1, and also consider
19 reduction of the dose, if needed, based on the drug,
20 therapeutic index of the drug.

21 So in conclusion, based on the current data,
22 we believe understanding transporters and their

1 interaction will provide a mechanistic approach to
2 explain variability in pharmacokinetics,
3 pharmacodynamics, and safety in clinical trials.
4 Also, if we study them early, by knowing them early we
5 can identify patients at risk of developing adverse
6 events associated with the drug in question, or
7 sometimes at-risk drug combinations. And this can
8 also lead to actionable steps to manage those
9 interactions.

10 So just to echo what Larry has presented to
11 you this morning, we need to think about the tipping
12 point for special studies we need to ask for, for
13 transporters during drug development, with focus on,
14 what are the clinical questions and what are the data
15 generated can help us address those questions? And
16 what transporters are mature enough to be studied?

17 How to evaluate new molecular entity as
18 either substrate or inhibitor of transporters? And
19 not to forget, transporter can also interplay with
20 metabolite enzyme that may not be completely
21 understandable from the in vitro system. And more
22 importantly, how we translate those information into

1 the label that could be useful to the prescribers.

2 So here comes the question for the Advisory
3 Committee.

4 DR. VENITZ: Can you hold off with those
5 questions since we are going to discuss them in great
6 detail later, if you don't mind?

7 DR. ZHANG: Sure. Yes. I won't go through
8 detail, just -- so this is the decision tree we
9 propose here. It's not something new we just created.
10 This is just simply based on our discussion with the
11 experts in the field, and we try to put it into a more
12 systemic way. And this kind of approach has already
13 been used in some companies and drive the development
14 program.

15 So the question mainly -- although it's two
16 questions, but they are very similar -- mainly just
17 ask whether we should study -- consider those as major
18 transporters and to be evaluate them routinely during
19 the drug development process, and what transporters
20 should be included in the flow chart if they are not a
21 major one; and whether there's alternative criteria we
22 can stratify the use of this decision tree based on

1 maybe therapeutic area, whether there's certain
2 therapeutic area, you would think may be more
3 appropriate to use this decision tree versus the
4 other.

5 Because we do not want to increase the
6 burden for the drug development. But in the meantime,
7 we do want to obtain those information early on and
8 have an idea about how to manage those drug
9 interactions. And this is the decision tree for the
10 inhibitors, and we pose the similar question to the
11 committee.

12 So finally, I would like to acknowledge many
13 peoples who have been play a major role in the
14 development of not just the drug interaction guidance,
15 and also this presentation, as well as the peoples
16 from outside of the FDA, the International Transporter
17 Consortium, and also the FDA critical path funding.
18 Thank you.

19 DR. VENITZ: Thank you, Lei. Any
20 clarification questions?

21 [No response.]

22 DR. VENITZ: Then let me ask you -- I notice

1 that MRP, in particular MRP2, is not included in the
2 ITC report. Can you explain the reasoning behind
3 that?

4 DR. ZHANG: Just I think this is mainly
5 focus on -- the MRP2 can play a very important role in
6 some of the endogenous compound as well as in
7 toxicity. However, when you look at the drug
8 interaction, we haven't found many drug to be interact
9 with this transporters.

10 Therefore, they are on the emerging list,
11 although they don't make the seven in this list. But
12 they are on our radar screen and we will collect more
13 data to determine how to evaluate them. And they
14 could be very important for a particular drug.

15 DR. VENITZ: Okay. Thank you.

16 Dr. Thummel?

17 DR. THUMMEL: Yes, Lei. You mentioned in
18 your discussion about labeling recent data suggesting
19 30 percent of NDAs, was it, that have some type of
20 information about transporters in it?

21 DR. ZHANG: Yes, 38 percent for oral drugs.
22 But if you look at totality of all route, there's 23

1 percent.

2 DR. THUMMEL: Yes. My question was, what
3 fraction of that consists of basically negative
4 information versus information that would affect
5 dosing?

6 DR. ZHANG: If there's a particular drug
7 interaction has been conducted, then we have more
8 specific dosing recommendation. But a lot of time, I
9 think we only have in vitro data, just say they are
10 substrate or they are inhibitor.

11 Then we have the labeling language, as I
12 show you here, just say caution should be exercise
13 when you dose with another drug that is a substrate or
14 inhibitor. So not very actionable, I would say.

15 So we hope we can gather more data so we can
16 have better recommendation in the future.

17 DR. VENITZ: Any other? Dr. Lesko?

18 DR. LESKO: To clarify a clarifying
19 question, so Dr. Thummel asked the question. Is the
20 point of that question that when negative results are
21 available from these studies, they should go in the
22 label, as should positive results? And can you

1 extrapolate from a negative finding on a 2-by-2 study,
2 basically, one drug and one drug, to say the world of
3 drugs don't need to worry about it?

4 DR. THUMMEL: That's sort of what I was
5 getting to, but I was more specific. It's just how
6 often is the report simply, it's not a substrate, not
7 an inhibitor? And you're counting that as information
8 about transporters, versus something that's more
9 clinically compelling.

10 DR. LESKO: Yes. Okay.

11 DR. VENITZ: Dr. Huang?

12 DR. HUANG: Yes. In the labeling there,
13 it's not consistent. And it's also depends on which
14 section you have. Most of the information are in the
15 clinical pharmacology section. You may indicate that
16 this drug is a substrate of this transporter, or it is
17 an inhibitor of this transporter, but there are no
18 actionable items.

19 When there are cases where there are
20 actions -- I'm also talking except eltrombopag -- it's
21 not specific because we would say, if you're giving
22 with cyclosporine, reduce the dose by one-eighth. But

1 we didn't say because it is OATP1B1, although now the
2 theory is OATP1B1 could be very specific for some of
3 the statins.

4 BCRP and OATP1B1 could be important for
5 cyclosporine interaction, for rosuvastatin. But our
6 labeling currently not that specific, but we know the
7 mechanism is possibly because of transporters. So we
8 don't have that detailed information like we have with
9 cytochrome P450.

10 DR. VENITZ: Any additional clarification
11 questions?

12 [No response.]

13 DR. VENITZ: Okay. Thank you, Dr. Zhang.

14 DR. ZHANG: Okay.

15 DR. VENITZ: Then our next and our last
16 presenter for the day is Dr. Polli, who's going to
17 give us the Glaxo perspective on drug transporters.

18 DR. POLLI: Thanks very much for the
19 invitation to come and share some perspectives on
20 transporter mediated drug interactions in drug
21 development. I'd like to thank Dr. Zhang for a nice
22 overview of the transporter area and the white paper,

1 and for highlighting some of the FDA's position.

2 What I'd like to do in the next ten, twelve
3 minutes is talk a little bit about how we prioritize
4 and think about doing these transport studies from
5 early discovery all the way through post-marketing.

6 So you've heard that this is a rapidly
7 growing area, with many in vitro, pre-clinical, and
8 clinical publications, and there are a number of
9 challenges for us right now. There are more than 30
10 drug transporters involved in ADME. We have few
11 agreed clinical translation approaches in the area.

12 Our tools and reagents are much more limited
13 in the CYP enzymes. However, this issue is resolving.
14 There are now a number of commercial vendors that
15 supply some of these reagents, and so they're becoming
16 more accessible to most companies.

17 One of the challenges still here is, unlike
18 CYP enzymes where you can purchase a single vial and
19 do seven or eight enzymes in from one stock, you need
20 to buy individual transporters or have assays for
21 individual transporters. There's no way to combine
22 these yet. So that's certainly a challenge.

1 To me, one of the biggest challenges of
2 measuring drug exposure in plasma may not actually
3 reflect the impact on the drug's disposition, so we're
4 going to have to be more creative to understand where
5 the impact is in tissues, and try and understand the
6 PD effect.

7 Then finally, as you can imagine, in any
8 emerging area, especially with this number of
9 transporters and acronyms, we are creating some
10 conflicting message to our prescribers, our patients
11 and the regulatory bodies. So we need to be careful
12 that we're clearer of what we're talking about.

13 This is just a general strategy one could
14 use to think about transporters during drug
15 development, all the way from discovery, all the way
16 through your NDA application. If we start on the far
17 left from discovery to first time in human, really
18 what we do is we think about the clinical strategy.

19 The things we consider at this time are,
20 what's the therapeutic area? What are the co-meds we
21 expect this compound to be given with? What's our
22 product profile? What kind of development plan do we

1 want to have? And what are the physical chemical
2 properties of either the molecule we're thinking about
3 or the series we're in? And we take all that
4 information, we integrate it, and we begin to
5 hypothesize potential transporters that might be
6 involved in the disposition of the molecule.

7 As we get to our first time in human study
8 in our series of clinical work to proof of concept,
9 this is where we really want to focus on building
10 understanding of that molecule and the role the
11 transporters could have.

12 We do a lot of nonclinical studies, both
13 with in vitro and in vivo at this time, and we also
14 have an opportunity to do a number of clinical
15 studies, in particular understanding the
16 pharmacokinetics of the molecule in both healthy
17 volunteers and in our patient population. And of
18 course, we're gathering safety all along the way.

19 Then finally, as we hit our proof of concept
20 to our new drug application phase, we really need to
21 think about how to translate this information into
22 drug labeling. And what type of mechanistic or

1 investigative studies do we want to consider at this
2 time or even post-marketing? And then, of course, we
3 have our clinical program still ongoing, and we can
4 use that as leverage to learn more about the molecule.

5 The message I want to leave with you from my
6 view is that the central tenet is the clinical plan,
7 which considers the therapeutic area, the co-meds and
8 the patient population. And we revisit this on a
9 constant basis.

10 As you've heard that there are about 450
11 transporters in human genome, there are about
12 30 involved in ADME. And if I counted correctly,
13 there are 27 transporters highlighted in the white
14 paper. That's a lot of information. There are a lot
15 of acronyms there. It's hard to understand; which
16 transporter and when do you study it?

17 So this is just an illustration of one
18 example of how somebody could, in theory, prioritize
19 the transporter. And it's really just for
20 illustration. Again, we start on the far left and
21 think about discovery to first time in human.

22 Let's say you're in the area of neurology,

1 and you know you have to get that molecule across the
2 blood-brain barrier. You might want to consider about
3 the efflux transporter, such as P-gp, both clinically
4 as well as pre-clinically in your models to predict
5 your clinical efficacy.

6 If you happen to be in the cardiovascular
7 area and you're going to be dosing with digoxin, that
8 could be an important co-med early in your program.
9 You might want to consider about P-gp inhibition.

10 However, if neither of these are important
11 to your program -- you might be in diabetes -- you may
12 not need to do this work this early in discovery, and
13 you might want to place it later in the development
14 program.

15 As mentioned, statins are a very large
16 prescribed population of drugs. So this could in fact
17 impact your clinical enrollment no matter what your
18 therapeutic area, so it's a consideration that we
19 think about. As well, it could impact your commercial
20 view, depending on what area you're in. And then, of
21 course, knowing something about the molecule and the
22 area, there may be other transporters to consider very

1 early in your discovery to first time in human work.

2 Again, as we do our first time in human work
3 and a proof of concept, we really focus on safety and
4 enrollment. As Lei mentioned, topotecan is an
5 interesting molecule, but it's only oral topotecan
6 where you need to worry about BCRP interactions. And
7 that can be quite marked with a BCRP inhibitor.

8 For metformin in diabetes, do you think
9 about OCT1 around efficacy? And what about OCT2 for
10 renal drug clearance? And then finally, OAT renal
11 clearance for some other co-meds like sitagliptin or
12 now a therapeutic drug like methotrexate. So again,
13 it's taking all this information together, integrating
14 it, and building your understanding and prioritizing
15 your transporters.

16 Then again, as I mentioned earlier, the
17 translation of this information to your drug label,
18 your mechanistic studies, and these other
19 transporters, which I'm sorry we couldn't address in
20 the white paper, but we do think about mates and MRPs
21 as well along the way.

22 Again, the message here is that there is no

1 agreed timing for these studies, and that the
2 objective should be driven by the clinical plan, and
3 to understand the key transporters by phase 3. And
4 the definition of key transporters here are the ones
5 you think are important in the drug's disposition, its
6 efficacy, and/or the co-meds that you're going to be
7 giving it with. You don't need to cover all of these
8 by this time. There's plenty of time in development
9 to go back and do more work.

10 So I'm just going to show you a real-world
11 example of lapatinib, which is Tykerb. It's a breast
12 cancer drug. We actually studied 13 drug transporters
13 in this program over about seven or eight years. And
14 if we were going to go back and work on lapatinib or,
15 let's say, a backup program today, what are some of
16 the things we'd think about?

17 Well, we know it's a tyrosine kinase
18 inhibitor. Lots of interactions with transporters,
19 and we already know what those are. We know it's a
20 very special patient population of breast cancer
21 patients. We know CNS disease is important in
22 treating these people. And we know these molecules

1 tend to be large and lipophilic. That drives us
2 immediately down a certain route of transporters that
3 we would think about right out of the gate.

4 Again, as we go into our initial clinical
5 work, we're worried about safety. P-gp, BCRP, and
6 OATP would be things we'd be paying attention because
7 again, it's a big, large lipophilic molecule.
8 Tyrosine kinase inhibitors tend to interact with
9 these. And this is what we'd be driving our strategy
10 around.

11 Then again, as we get to our translation and
12 patient response and drug interactions, we'll pull in
13 the second level transporters, OCTs and OATs and MRPs,
14 which historically have not been that big of an issue
15 in the tyrosine kinase area. The reason you study
16 these is because of the other drugs -- cisplatin,
17 pemetrexed, digoxin. The tumors, what are they doing
18 there? And so it's again to gain our understanding
19 and translation to the clinical situation.

20 It's a very customized approach based on the
21 target product profile and the clinical plan. And
22 again, like any drug, we're going to do post-marketing

1 work on drug/drug interactions, on toxicity in CNS
2 metastases in this area around breast cancer patients.

3 Lapatinib has a lot of drug transport in the
4 label. This is the 2010 label. You can find
5 information, again in the drug interaction section as
6 well as in the clinical pharmacology section. And I
7 think it's important that as we go, we continue to ask
8 ourselves, what is the value of this information in
9 the label? Are we linking it back to the clinical
10 data so that patients, payors and providers understand
11 what we're talking about? And this does require
12 diligent education on our part.

13 Again, there are a lot of these
14 transporters. The acronyms are quite complicated, and
15 we have to make sure that when we talk about these
16 transporters, it's very clear. Just to highlight, we
17 have OCT1 and we have OCTN1. And people are going to
18 get those transporters confused. So we're going to
19 have to make sure that we're clear when we make dose
20 adjustments.

21 The future is pretty exciting, actually,
22 from my view. I love studying drug transporters. I

1 like working in drug development. When I see papers
2 like this from Alfred Schinkel, it's very inspiring
3 for me to go back and design studies to support our
4 programs.

5 In a nutshell, what this paper is about is
6 investigating hepatotoxicity. And what this group
7 showed was that you could knock out one transporter
8 and you might get a little bit of hepatotoxicity. But
9 if you knock out two transporters, you get quite
10 severe hepatotoxicity.

11 What was really interesting about the paper
12 was you could do this in two separate combinations of
13 transporters, again, highlighting the redundancy that
14 the transporters can have; as well as the other
15 interesting thing about this paper is the TK -- or the
16 PK of the molecule doesn't really change, the parent
17 molecule. But the kinetics of the metabolites are
18 very different in these knockout animals. So again,
19 measuring parent PK may not tell us the whole story.

20 Then finally, I think the other important
21 aspect of this paper, it's one of the first ones that
22 I know of where transporters are probably the bio-

1 deactivation pathway. They're handling these
2 potentially hepatic metabolites. And you can give
3 this drug quite safely to a normal animal. And it's
4 only in the deficiency of the transporters that you
5 actually get the hepatotoxicity.

6 Modeling has been mentioned a number of
7 times, certainly in the transport area. This is a
8 very active area of research, and I assume it's going
9 to -- it will continue to grow. It will allow us to
10 test our hypotheses, and most importantly, to identify
11 where the most important determining step. Is it
12 uptake, is it efflux, or is it some interface between
13 metabolism and transport?

14 Ideally, in the future, it's going to be
15 great if you could come to me with a list of co-meds.
16 I can sit down with my clin pharm colleagues, and we
17 can basically do a paper exercise and try to make some
18 risk assessment. And then maybe we would go do the in
19 vitro study. I think that will be very exciting in
20 the future, and I think it's going to be possible,
21 hopefully in the next decade.

22 So I just want to leave you sort of with the

1 puzzle where we are. It's not just about drug
2 transporters. We've talked a lot about drug-
3 metabolizing enzymes today, as well as this committee
4 has over the years. It is an interplay between both
5 of these. Very important to understand how transport
6 and metabolism work together.

7 Both of these processes are subject to
8 inhibition as well as induction, and these can be
9 different, depending on the compound. We know this
10 from the HIV franchise, where we can see induction or
11 inhibition on day one, but we actually get induction
12 over time. And we see changes in drug interactions.

13 Protein-binding species differences. Age.
14 Our patient population. Pediatrics. Geriatrics. All
15 very important to take into consideration on the
16 effect of PK and toxicity.

17 So it's a very complex system. We'll have
18 to always integrate the data and be prepared to do the
19 next experiment to understand where to take the
20 information.

21 Again, with that, I hope this has been
22 informative to the committee as well as to the

1 audience. I thank you again for the opportunity to
2 share some of my thoughts on this topic, and I'd be
3 happy to answer any questions.

4 DR. VENITZ: Thank you, Joe.

5 Any questions for Dr. Polli? Dr. Lesko?

6 DR. LESKO: Joe, thanks. So thinking of
7 your flow chart in general and with Tykerb
8 specifically, it's sort of like a graded approach to
9 transporters. And the question I had was: How often
10 does the information coming from these studies in each
11 phase -- how often has that changed the drug
12 development program for the drug, or what you
13 envisioned in the beginning as your targeted product
14 profile?

15 Or the third option would be, has this been
16 simply information that you'd gather and hopefully
17 would use in, say, a post-marketing situation where
18 maybe an adverse event occurred or an unexpected drug
19 interaction? I'm trying to get an insight into how
20 important this information is in the context of the
21 development program.

22 DR. POLLI: I'll try to give you two

1 examples. The first will be sort of the more general
2 one from a global drug development perspective.
3 Again, statins, I think one of the biggest classes out
4 there. You're always going to run into this as a
5 question about drug interaction strategy.

6 So in our company, we actually do look at
7 OATP inhibition quite early in discovery because that
8 could, in fact, be a differentiator on a molecule.
9 That's not unlike 3A4, so that we study very early.
10 Do we study the other transporters that early? No, we
11 don't, because it's hard to differentiate molecules
12 and to know that clinical impact on the end.

13 In the case of lapatinib, you're probably
14 wondering why did we study 13 transporters. Right?
15 And the reason is the clinical program was quite big.
16 I mean, we have something like 140 clinical studies
17 for this project. And it covers everything from
18 cisplatin dosing to taxanes, which have transporter
19 aspects as well as metabolism to all kinds of other
20 co-meds. And we really were trying to get a handle on
21 where that risk is.

22 Again, the problem we're struggling with is

1 that we don't see a lot of PK changes, but we
2 definitely see changes in toxicity and the dose-
3 limiting endpoints. And that's what we tend to focus
4 on right now.

5 DR. VENITZ: Dr. Collins?

6 DR. COLLINS: You were clear in noting that
7 you were just representing your own views. But I was
8 wondering if there was any, you know,
9 industry/scientific groups within pharma or other
10 trade associations who've sort of grappled with this
11 question on whether there was any consensus about the
12 need for guidance and how specific it should be and
13 things like that.

14 DR. POLLI: Yes. I think the ITC committee
15 is pretty well represented from industry. In fact,
16 there's a small subcommittee that has rolled off from
17 that group that will continue to sort of monitor from
18 a big pharma perspective where we take the information
19 in the future, and where are the gaps? How do we feed
20 back to this ITC group where we think research has to
21 be done both at the academic level as well as sort of
22 the industrial level?

1 APS has had a very big program in
2 transporters over the last ten years. They have a
3 sort of biannual workshop on drug transporters where a
4 lot of this information is actually vetted.

5 DR. VENITZ: Any other questions?

6 [No response.]

7 DR. VENITZ: Okay. Thank you again,
8 Dr. Polli.

9 As you can guess by now, we don't have any
10 open hearing, which means we have a little more time
11 for our discussion. And what I would propose -- we
12 have two topics to cover; both of them have voting
13 questions involved -- that we go back now to our
14 topic 3, the renal guidance, and maybe spend up to or
15 not more than 20 minutes discussing whatever panelists
16 would like to discuss, and then move into the voting
17 mode, and then change over to topic No. 4 and do the
18 same.

19 Any violent objections?

20 [No response.]

21 DR. VENITZ: Okay. Then we are back to
22 topic No. 3. Any discussion on the renal guidance?

1 [No response.]

2 DR. VENITZ: Okay. Then let me go ahead and
3 get -- okay. Dr. Giacomini. Dr. Stevens. Go ahead.

4 DR. GIACOMINI: I'm sorry. So we're going
5 to argue, meaning that questions like -- are we taking
6 them one by one, I mean, in the renal --

7 DR. VENITZ: Well, ultimately. Right now I
8 just want for everybody to have a chance to provide
9 whatever informal feedback --

10 DR. GIACOMINI: Just anything?

11 DR. VENITZ: -- or discuss anything related
12 to the renal guidance. And then we start the voting
13 in about 20 minutes. But then we go question by
14 question. So right now it could be anything related
15 or unrelated to any of the questions.

16 DR. GIACOMINI: But if it's related to one
17 of the questions, should we hold it for that question
18 or just go ahead?

19 DR. VENITZ: I would ask it now, but that's
20 up to you.

21 DR. GIACOMINI: Okay. So yes, I do want to
22 second what I heard from Rick Lalonde and from some --

1 I guess from him in particular, and that is the MDRD
2 and that 1.75 meters squared BSA. I feel it's very
3 confusing if you put that in the label together with
4 the Cockcroft and Gault, which isn't at -- which is
5 expressed per individual patient.

6 So although I like the MDRD and we've used
7 it in some of our own studies, we know, of course,
8 that that just gives it per the meters squared, and
9 then we need to make that adjustment.

10 But I would be very concerned that
11 clinicians would not do that, and they would make a
12 mistake. And that mistake is just a devastating
13 mistake, you know. If you express it in terms of 1.75
14 meters squared, and somebody is smaller, a lot
15 smaller, you change their dosing category immediately.

16 And, you know, so I guess I want to just add
17 that.

18 DR. VENITZ: Dr. Stevens.

19 DR. STEVENS: Well, thank you for inviting
20 me. I have several comments to make.

21 First of all, I agree with the introduction
22 of MDRD into the drug dosing. I think, as has been

1 explained by clinicians and by sponsors, this is
2 something that can't be ignored. So I think that's
3 very good. And basically, I would agree to anything
4 that includes the MDRD. I do, though, have some
5 specific comments and some concerns that I think this
6 may not be as clear as it could be.

7 So, first of all to start back, I think that
8 the goal should be that the best kidney function
9 assessment for the population to whom the drug will be
10 applied, and I don't think that concept is necessarily
11 understood in the drug dosing guidance as it is
12 stated.

13 From a clinical perspective, we look at the
14 patient and we understand if creatinine, as a marker,
15 is appropriate to be used, and regardless of the
16 equation, for many patients it's not appropriate. And
17 I think similarly, for the sponsors doing the studies,
18 they may say, in this population such as very sick
19 patients in intensive care unit, very sick patients
20 for cancer drugs, or in the very obese, of which
21 neither equation has been well-validated, this may not
22 be appropriate. And I think this has to be very

1 clearly stated.

2 So then the table, and then even the
3 discussion from both talks, don't seem to actually, I
4 think, get at some of this -- not just a nuance, but
5 actually really the fundamental part of how we assess
6 kidney function. And then so let's talk about the
7 equations. Shiew Mei showed you my slide with the
8 comparison of the MDRD and Cockcroft-Gault. And I
9 think there's a distinct -- the conversation since
10 then, I think, has missed a very important point
11 there, which is the P30 of 60, which is the percentage
12 of estimates for the Cockcroft-Gault equation are 60
13 percent. That means 40 percent of people have an
14 estimate that is 30 percent greater than the measured
15 GFR.

16 Now, in this study we actually corrected the
17 measured GFR to make it more like a creatinine
18 clearance, and we looked at the difference between the
19 creatinine clearance and MDRD. So this is greater
20 than 30 percent from their measured creatinine
21 clearance at Cockcroft-Gault.

22 So the problem with the Cockcroft-Gault, the

1 equation -- and let's not talk about the units yet.
2 The problem with Cockcroft-Gault is that it's very
3 imprecise. And that means that in those people, those
4 six people you concluded in your category for the drug
5 dosing, the pharmacokinetic studies, they'll be very
6 different from the patient you see in front of you.
7 They may have the same value for their Cockcroft and
8 Gault, but will have a very different creatinine
9 clearance or measured GFR, whatever you want to use or
10 consider as a gold standard. And I think this is my
11 problem with the Cockcroft-Gault, and I really think it
12 should be discarded. Now, I understand it may not be
13 able to in a practical sense. But from a scientific
14 sense, there's really no advantage.

15 There's a completely separate question from
16 the issue of body surface area adjustment. And they
17 get confused, and I really think we need to unconfuse
18 them. I would agree that for drug dosing purposes, we
19 need to put it for an individual patient, not what is
20 the appropriate kidney size for a particular person to
21 look at CKD or not CKD.

22 It is difficult to unadjust the MDRD study

1 equation as it stands now. But I don't think that
2 necessarily -- because of my concern about the
3 imprecision of the Cockcroft-Gault, I don't think
4 necessarily the answer is to use the Cockcroft-Gault.

5 In most people, because it's in for 1.73,
6 for most people it's around 1, and so there's not a
7 lot of differences. And I think that in the very
8 small people or very big people, creatinine itself is
9 not going to be a great marker. So I think that what
10 the education for clinicians has to be is to become
11 more understanding of that we need to really think
12 about GFR, and we can't just look at the value in
13 front of us.

14 So I think, and going back to the specific
15 question about the table, I think the table should be
16 mls per minute because I think that's the science of
17 drug dosing. And I think that does put some onus on
18 clinicians, and that's okay because that's
19 unfortunately, or fortunately, where the science is
20 now.

21 Where the conversation I think we could all
22 have here is, you could say to me as a developer of

1 equations, say, why don't you develop one not adjusted
2 for body surface area? And I think that may be
3 something that potentially, you know, we could think
4 about. And that's maybe where this conversation
5 should go rather just focusing on the specific
6 equations.

7 What are my other comments? And again, I
8 come back to those limitations of creatinine because I
9 really when you're -- particularly for as a clinician,
10 which I am, you know, looking at a patient, this is
11 fundamental. And I understand it's harder from a
12 sponsor level to look at that equation, look at that
13 concept. But I think that could be incorporated into
14 the guidance.

15 Then finally, I think that there could be
16 some flexibility for the new equations. I think
17 coming back to my overall concept of the best kidney
18 function estimate, that should be how best it's done.

19 I know the European Medicines Association
20 Agency, EMA, they talk about having cystatin. Now, I
21 think that's far away from use in clinical practice,
22 but the concept of having different markers, I think

1 the guidance should allow that flexibility, or at
2 least understand that this is really an interim
3 solution for where I hope we're going to really go,
4 which is have much better estimates that are going to
5 be available clinically.

6 DR. VENITZ: Dr. Thummel?

7 DR. THUMMEL: Yes. I just would like to
8 follow up on one point made, that if you make the
9 decision that an individualized estimated GFR is the
10 way to go, then the separate question of how to
11 implement it should be, you know, kept separately;
12 that from my perspective, you know, if it needs to be
13 done in the most efficient way to assure that -- you
14 know, that normalization is going to occur, you know,
15 why couldn't it be incorporated in the lab analysis?

16 The report out is always individualized.
17 And the lab's not reported out unless the data that's
18 needed to provide that individualized estimate is
19 provided. So there's an assurance there. The lab --
20 basically leave it in their hands rather than in the
21 clinician's hand.

22 DR. VENITZ: Dr. Dowling?

1 DR. DOWLING: Thanks for the opportunity
2 here. I just wanted to comment.

3 The discussion of imprecision in the
4 Cockcroft and Gault equation, certainly that's relative
5 to a measured GFR. So we know that the creatinine
6 clearance is going to be different than the measured
7 GFR. We know that because creatinine clearance
8 results are the result of some tubular secretion of
9 creatinine. We know that.

10 Certainly many drugs undergo that same renal
11 elimination mechanism. Tubular secretion is
12 extensively involved in the clearance of many drugs,
13 in the renal clearance of many drugs.

14 So what it comes down to is how precise does
15 the Cockcroft and Gault equation predict the drug
16 clearance? And Dr. Lalonde presented some data that
17 shows a very nice correlation, very tight relationship
18 between the Cockcroft and Gault equation and the drug,
19 the clearance of the drug.

20 So I think that's the fundamental question
21 that we need to be asking; how well does the MDRD or
22 any of the GFR equations -- how well do they predict

1 the drug clearance using regression-type approaches?

2 So I think that really needs to be done.

3 We can talk about ways to maybe do some due
4 diligence here in terms of the data that the FDA might
5 have at this point to be able to do some projections,
6 some risk/benefit models in terms of, if that equation
7 was substituted in a case where we have a drug label
8 that clearly specifies use of the Cockcroft and Gault
9 equation -- and which we know many labels actually
10 specifically indicate Cockcroft and Gault equation --
11 that if we were to substitute in MDRD or some eGFR,
12 whichever equation of the week is popping up here,
13 whether that substitution would result in significant
14 dose changes.

15 We know that some of the data from many of
16 our clinicians, Bill Spruill, Keith Wargo [ph], Gil
17 and colleagues, especially in the elderly population -
18 - when the MDRD equation was substituted for the
19 Cockcroft and Gault, it resulted in significant dose
20 discrepancies, and in most cases higher doses being
21 given to patients. And in the elderly, this could be
22 a significant risk. I think we need to really assess

1 that approach and what potential risk could be
2 imparted by that approach.

3 DR. VENITZ: Dr. Barrett?

4 DR. BARRETT: I think this is a key point
5 you're bringing up regarding the potential difference
6 in terms of how a patient presents and how they're
7 evaluated clinically as far as their renal function.

8 So, you know, I don't know that we have
9 enough data from the MDRD. But it just appears to
10 have superior performance as far as predicting kidney
11 function, at least based on what I've seen so far.

12 I guess the other issue or question that I
13 had -- this is more of a question. I'm guessing this
14 is available, but the MDRD already also has race as a
15 part of this equation as well. And I haven't heard a
16 lot of discussion about this.

17 But what do you do as in a mixed-race
18 individual? How does that factor in here? So I think
19 it has some interesting properties. But I think, as
20 well, I'd like to see exactly how this would translate
21 into a label because as you're saying, if the issue is
22 in terms of making dose adjustments, maybe its value

1 lies in terms of a clinical prognostic factor for
2 renal function and not so much in terms of adjusting
3 dose.

4 I don't know. I don't think we -- I know I
5 haven't seen enough data yet. But I think it's
6 probably a reasonable exercise to think about what
7 would the label look like with this kind of
8 information from the MDRD in it.

9 DR. VENITZ: Dr. Collins?

10 DR. COLLINS: Regardless of which nomogram
11 you use, I'm a little concerned about the cutoff
12 points for what's normal and so forth. I know these
13 have been around for a long time.

14 But to say that 90 or above is normal, you
15 know, that may be healthy. It may be what's
16 desirable. But I don't think it reflects the real
17 world. At NCI, we studied 11,000 patients entered
18 into our phase 1 studies, and they were outliers.
19 They weren't the dominant group at all.

20 In Dr. Stevens' paper in the New England
21 Journal of Medicine, if you look at the nomogram, the
22 graph that Shiew Mei Huang showed, it's hard to find

1 the little dots greater than 90. In our studies,
2 it's -- you know, we think of fairly healthy patients
3 are in the 60 to 90 rate. That's the dominant
4 category for patients who don't have a specific
5 disease but have cancer that are entered.

6 So, you know, in terms of what the reference
7 group is for being normal, I don't want to be
8 semantic. I just want to say that we normally choose
9 our reference dose for labeling based on what will
10 help the majority of the patients. And it looks like,
11 even though these are maybe really better, in better
12 shape from a kidney standpoint, they don't really
13 represent the majority of patients, at least in the
14 studies, that 11,000 that we looked at.

15 DR. VENITZ: Dr. Kearns?

16 DR. KEARNS: I have a question for
17 Dr. Stevens or anybody in the room. And I'm listening
18 to all this, and I'm getting progressively concerned
19 and confused because I just don't believe it's this
20 hard.

21 The Schwartz formula, which is used in
22 children, and it's now been validated in a huge study

1 funded by NIDDK, is bang-on pretty good. And they've
2 kind of revised it and some iterations to it in terms
3 of is it .55 or is it another factor. But when you
4 look at it, how it lines up with inulin clearance,
5 it's really very, very excellent.

6 Has anybody ever evaluated the validity of
7 the Schwartz formula in adults?

8 DR. CAPPARELLI: They have not. The
9 equation, though, uses height, and we have looked at
10 both -- by the way, both height and weight in
11 development of our new equation, CKD-EP equation.
12 They don't add any information from what's there. So
13 we've basically looked at the parameters in
14 combination, which are in the Schwartz equation. So I
15 don't think so.

16 DR. KEARNS: Okay. So it looks like we have
17 some work that can be done.

18 But in terms of what's -- I'm thinking about
19 the prescriber thing. You know, what's important to a
20 prescriber? So the physician wants to know, do I give
21 it at the regular dose interval? Do I give it
22 whatever? And to make that determination, you have to

1 turn the estimate of GFR into some factor that allows
2 you to correct the clearance of the drug.

3 You know, we've got a lot of people in the
4 world doing programs and very good things and trying
5 to estimate clearance. And renal function is probably
6 the easiest thing in the world to do that with as
7 opposed to the liver. I'm looking at Dr. Rostami out
8 there, and I know he's one of them who does this
9 stuff.

10 But are we making it too -- are we trying to
11 make it exact and reductionist and neat when it may be
12 wrong? I mean, I'm thinking about kids. I mean, if I
13 open prescribing information and I see that handy-
14 dandy little table in there and it tells me, well, cut
15 the interval to every 12 hours, and I've got a child
16 who's maybe, you know, less than 2, do I just apply
17 that? Is it good for everybody? Or do we just need a
18 one-size-fits-all and get on with it?

19 DR. VENITZ: Dr. Mayer?

20 DR. MAYER: I guess I worry about having a
21 decision tree with two different pathways where one
22 patient could have this different dose depending on

1 which way you go. So I think you really only need
2 one, either the left- or right-hand side of the table.
3 I would vote for ml per minute because that's the real
4 patient, his or her GFR or renal function.

5 I think, much like Ken said, a lab ought to
6 be able, if you've input the stuff that goes into a
7 BSA determination, get that for you and get you
8 actually back to what your ml per minute for that
9 individual patient would be.

10 So I'd go for one side of the table, not
11 two, because I think it makes an inconsistent dose in
12 some patients and just leads to a decision tree where
13 that dosage and administration section is typically
14 very straightforward.

15 Secondly, a different topic completely:
16 Just the end-stage renal function group, I think it's
17 going to be nearly impossible to find those patients
18 not on dialysis when they're at a very low ESRD. And
19 in addition to finding those patients, which are going
20 to be impossible, you won't be able to find normal
21 matched controls for those people, either. So you'd
22 be boxing yourself into a study that really could not

1 even be performed.

2 DR. VENITZ: Dr. Lertora?

3 DR. LERTORA: Again, I want to emphasize and
4 echo some of the comments that were made already. I
5 mean, we're talking about information that is going to
6 go in the drug label in terms of dosing adjustments in
7 patients with impaired renal function.

8 I think the message has to be very clear for
9 the practitioner. And I think -- I also agree that
10 the units ought to be milliliters per minute in terms
11 of a reflection of creatinine clearance or GFR.
12 Otherwise, we have to introduce the body surface area
13 corrections that Dr. Giacomini mentioned earlier.

14 So that is a concern in terms of the clarity
15 of the message to the practitioner. And I think that
16 is a very important consideration.

17 DR. VENITZ: Dr. Flockhart?

18 DR. FLOCKHART: This is a side point. But
19 since you'd asked for all comments, I'm not -- just as
20 a clinician, I'm a little concerned about the metrics,
21 and the metrics that we're using, the arithmetic, the
22 math that we're using because the general approach our

1 residents have to creatinine clearance is that the
2 equations that we have work well when we're within
3 normal range. But if you go without the normal range
4 of renal function, they don't work particularly well.

5 So I just wonder -- and this is really a
6 question to Dr. Stevens and other
7 nephrologists -- whether that is really the case.
8 Simply drawing a line through all the data, which I'm
9 sure is my instinctive approach, doesn't tell you
10 actually whether the predictive value, the P60, is
11 good at the low range where the people you're scared
12 about work and where most of the adjustment is done;
13 or for that matter, at the high range, at the other
14 end. Are we simply biasing our mathematical
15 assessment by focusing on the points in the middle
16 that are the normal range?

17 Let me just emphasize it one other way. If
18 you have a bunch of normal people where it collates
19 really, really well and you're missing the fact that
20 it's way off low and high, you're not providing
21 something that's very clinically useful.

22 DR. STEVENS: It's a good question. It's,

1 you know, how do you -- it is hard to use one number
2 to describe the performance of equations. And in our
3 work and when we've evaluated things, we look at a
4 lot. When we try and summarize it, we have to pare
5 down. We literally look at 50 numbers for every
6 equation because it's both overall and within all the
7 subgroups.

8 One subgroup in particular we pay a lot of
9 attention to is by level of estimated GFR. And so
10 when we put up a slide like this and we summarize the
11 data, it really tries to incorporate the overall
12 message of all of these different metrics. One of the
13 differences, by the way, is looking at things in a
14 percent scale versus the raw scale because a different
15 of 4 mls will be 50 percent if your GFR is 8. And so
16 you really have to consider that. And so that's a
17 good overall metric. But the problem is really seen
18 across the range of GFR for the Cockcroft and Gault.

19 DR. VENITZ: Okay. Let me use the
20 prerogative of the chair and invite somebody from the
21 outside who wants to respond to one of the questions
22 that was raised.

1 DR. SWAN: Thank you very much. My name is
2 Dr. Suzanne Swan. I represent DaVita Clinical
3 Research in Minneapolis, Minnesota, and I'm also on
4 the faculty of University of Minnesota Medical School,
5 and I work at Hennepin County Medical Center. I am
6 joined by two colleagues in the room as well, Dr.
7 William Smith from New Orleans Clinical Research and
8 Tom Marbury, Dr. Tom Marbury from Orlando Clinical
9 Research.

10 Our three sites do the lion's share of
11 phase 1/2 renal PK work with new compounds. In my
12 hand, in our hands, we have data from nearly 500
13 subjects who are just the normal matches for renally-
14 impaired subjects in these studies. And by MDRD, none
15 of them -- none of them -- have clearances greater
16 than 90.

17 So if I could go back to the earlier
18 comments, we just have three points here that kind of
19 get to the nitty-gritty, tire-meeting-the-road issues.

20 One, your brief or reduced PK approach, we
21 think, is a good one. But it needs to be people with
22 GFR determinations. Let's just say kidney function

1 since we're all debating Cockcroft-Gault versus MDRD,
2 and we don't have the answer for you, either. Your
3 abbreviated renal PK approach should be all comers
4 less than 30 mls per minute regardless of body
5 surface. Let's just say 30 percent kidney function.

6 It's below 30 percent at which point drug
7 accumulation occurs. People with kidney function less
8 than 15 percent do not exist if they are not on --
9 those that are not on dialysis and are less than 15
10 don't exist. Medicare pays people, pays physicians,
11 to dialyze people once their clearance is under 20.
12 So that population is really just not clinically
13 relevant. They aren't out there.

14 So where you want to do your abbreviated
15 renal PK is less than 30, including dialysis patients.
16 So people less than 30 not on dialysis, people less
17 than 30 on dialysis, and they should -- and the
18 dialysis subjects should be studied both inter- and
19 intra-dialytically.

20 The second point is, we need to figure out
21 which equation or which approach we're going to use to
22 stratify these people. By all means, collect

1 Cockroft-Gault and MDRD from this point on moving
2 forward, and we can all decide, hopefully, a year or
3 two from now after everybody crunches all the numbers,
4 what's best. But at this point, Cockroft-Gault has
5 the track record, and for all the reasons that have
6 been stated, probably cannot simply be tossed out the
7 window.

8 Regardless of which one you approach -- or
9 which one you use as your approach, the third point
10 is, normal is not greater than 90. We don't have
11 11,000 data points, as one of the speakers just
12 commented. We have 500. But none of these so-called
13 normal matches, when we plugged them into MDRD -- they
14 were all done by Cockroft-Gault -- when we plugged
15 them into MDRD, none of the normal matches, people
16 over 40, had a clearance greater than 90.

17 So in our opinion, those of us who do these
18 studies, it takes us three to four months to do a
19 renal impairment study at best, and this is between
20 three sites. If we're going to now study all the
21 drugs or lots of drugs that are not renally cleared
22 because of the data showed earlier and written and

1 published in CP&T last spring --

2 DR. VENITZ: Dr. Swan, can you come to a
3 conclusion, please?

4 DR. SWAN: -- we would ask that we'd
5 streamline these studies, and the three points that we
6 mentioned will help do that. Thank you.

7 DR. VENITZ: Thank you. Let me raise maybe
8 two points that I don't think we've talked about yet,
9 and get away a little bit from the MDRD/Cockroft-
10 Gault.

11 The first has to do with this abbreviated or
12 reduced PK study that you're talking about. And I
13 think I would concur with Dr. Lalonde wholeheartedly
14 for drugs that are mainly eliminated by renal
15 excretion. I'm not sure what that contributes because
16 you end up doing a full study anyways. I think the
17 potential benefit that it might have might be for
18 drugs that are primarily eliminated by non-renal
19 pathways.

20 Having said that, and this is something that
21 I pointed out before, the proper way to look at those
22 drugs is not just looking at the areas under the curve

1 or the CMAXs, but it's the unbound areas and the
2 unbound CMAXs since the changes that you might have in
3 plasma protein binding could offset any changes in
4 total plasma concentrations that are typically
5 measured.

6 So I would add, or at least modify, the
7 diagram or the decision tree that you have to make
8 sure that in order for you to decide whether there's a
9 significant change that needs to be followed up by a
10 full study. For example, that you're looking at the
11 changes in unbound concentrations and the unbound
12 drug, not just the total area under the curve.

13 Otherwise, you might conclude the total area
14 under the curve in normal renal function and end-stage
15 renal or close to end-stage renal patients are the
16 same. But if you graph for plasma protein binding,
17 you might find that those end-stage renal patients
18 have areas that are three- or fourfold higher. And
19 that is what obviously should drive the ultimate
20 outcome.

21 Second point related to that, the guidance
22 right now focuses primarily, as far as I can tell, on

1 the drug. And obviously, we have to look at active
2 metabolites as well, with the same caveat, if there's
3 any plasma protein binding issues to consider, that is
4 run in an integrated fashion.

5 So I just throw that out to either revise
6 the guidance or at least incorporate in the next
7 version.

8 Now, are there any other comments before we
9 get to the official voting for each of the questions?
10 Dr. Collins?

11 DR. COLLINS: Just because there's no other
12 place to get on the record, the thing that we've
13 overlooked in all these questions is, FDA has done a
14 major change from its previous guidance, and I think
15 they should be congratulated.

16 When the original guidance came out, there
17 was complete consensus everywhere that you did not
18 have to study drugs that were not primarily renal
19 excreted. There was no need to have that. And that's
20 in writing. Many of the people in this room,
21 including myself, thought that was just a terrific
22 idea, and we were saving the world from a bunch of

1 studies that didn't need to be done.

2 You've paid attention to what's gone on
3 scientifically. You've done your homework. The
4 industry has done their homework, as Rick pointed out.
5 And it's very helpful I think to have made that change
6 in the guidance. We're not voting on that today, but
7 I think that's -- FDA should be applauded for that.

8 DR. VENITZ: So with kudos to the FDA, can
9 we now proceed to Question No. 1?

10 [Laughter.]

11 DR. VENITZ: And I second that, by the way.

12 Shiew Mei?

13 DR. HUANG: One of the points well taken
14 about the normal group, there's some fine print -- not
15 exactly fine print; it's still found at 12 in our
16 guidance that was published this morning. And we did
17 mention if a drug, if we know that it has a wide
18 therapeutic range and the subject was 60 to 90 ml per
19 minute GFR without kidney damage, then they can be
20 lumped together with the control group. So a
21 modification that I did not include in our -- in my
22 presentation about the control group. We do have that

1 provision in the guidance.

2 DR. VENITZ: Okay. Dr. Lesko?

3 DR. LESKO: Yes. I kind of relate it to the
4 same thing. The Table 1 that Shiew Mei showed listing
5 five stages with the eGFR and the creatinine
6 clearance, at least with the online calculators for
7 the eGFR, there is no value reported over 60.

8 In other words, it's over 60. Everybody
9 gets lumped together so that, that table's somewhat
10 confusing in that the so-called control and the mild
11 decrease in GFR would be one category, using eGFR.
12 But on the other side, it would be two categories.

13 Now, the question is: Is that clinically
14 important? That is to say, how many times have we had
15 to reduce dose from "the normal" to the mild decrease,
16 and would that get lost in the shuffle? I don't know.
17 I'm just guessing that's probably not often. But it
18 could be a source of confusion, if not a clinical
19 important thing.

20 So I don't know if anyone has experience of
21 how you deal with those estimates over 60 in terms of
22 the validity of the equation, given the calculators

1 report them out as "normal."

2 DR. VENITZ: Okay. We should have on the
3 screen Question No. 1. Question 1(a) is a voting
4 question, and Question (b) would be a follow-up
5 depending on how you vote. Let me read you the
6 instructions.

7 We will be using the new electronic voting
8 system -- uh-oh -- for this meeting. Each voting
9 member has three voting buttons on your microphone,
10 yes, no, and abstain. Once we begin the vote, please
11 press the button that corresponds to your vote. You
12 will have approximately 20 seconds to vote.

13 After everyone has completed their vote, the
14 vote will be locked in. The vote will then be
15 displayed on the screen. The chair will read the vote
16 from the screen into the record.

17 Next, we will go around the room and each
18 individual who voted will state their name and vote
19 into the record, as well as the reason why they voted
20 the way they did.

21 Are there any questions about the process or
22 procedures?

1 [No response.]

2 DR. VENITZ: Are there any questions about
3 what we're voting on?

4 [No response.]

5 DR. VENITZ: Okay. Then I would propose
6 that we all vote on Question 1(a): Is it feasible or
7 necessary to recruit ESRD patients not yet on dialysis
8 that may represent the worst case estimate in increase
9 in exposure in order to conduct reduced PK studies?
10 And you have three options, yes, no, or abstain.

11 So I think the 20 seconds are ticking. And
12 I think within the 20 seconds you can change your
13 mind, but after 20 seconds you can't.

14 [Vote taken.]

15 DR. VENITZ: Okay. It looks we have a final
16 tally of the votes. And I think we're going to start
17 to my right with Dr. Giacomini to go on the record
18 with your name, your vote, and the reason of why you
19 voted the way you did.

20 DR. GIACOMINI: I'm Kathy Giacomini. I
21 voted no. The reason was that I felt that the
22 hemodialysis patients on a day off dialysis might be

1 appropriate for this kind of worst case scenario, and
2 the end-stage renal disease patients who have not yet
3 gone on hemodialysis might be very difficult to
4 collect.

5 DR. VENITZ: Thank you. Dr. Thummel?

6 DR. THUMMEL: Ken Thummel. I voted no,
7 primarily because one of the major reasons for doing
8 this abbreviated study is the issue of hepatic
9 dysfunction. And the data that were presented,
10 actually the group that was studied were those less
11 than 30. So I didn't see a compelling reason why to
12 make it more restricted to end-stage renal disease.

13 DR. VENITZ: Dr. Lertora?

14 DR. LERTORA: I voted no. And again, I
15 considered, rather, the difficulties in terms of
16 recruiting this special population, and felt that the
17 guideline would otherwise be appropriate.

18 DR. VENITZ: Dr. Dowling?

19 DR. DOWLING: Tom Dowling. I voted no.
20 Again, I think in the category of patients with GFRs
21 or creatinine clearance less than 30, I think that's
22 sufficient to detect a significant change in PK in

1 those studies.

2 DR. VENITZ: Dr. Harralson?

3 DR. HARRALSON: I voted no, I think mostly
4 because of the difficulty in getting patients in that
5 category, and they're difficult to interpret; although
6 I have concerns about the effect of end-stage renal
7 disease on the transporters. And so I think we're
8 kind of dodging that issue. But my vote is still no.

9 DR. VENITZ: Dr. Flockhart?

10 DR. FLOCKHART: I voted yes.

11 DR. VENITZ: State your name.

12 DR. FLOCKHART: This is Dave Flockhart. And
13 I voted yes because I think while it's expensive, it
14 is feasible. It can be done if enough effort gets put
15 in to recruit those patients. And I think it's
16 valuable information.

17 DR. VENITZ: Mr. Goozner?

18 MR. GOOZNER: Merrill Goozner. I abstained.
19 I think I was almost prepared to say yes, but the
20 information that it would be so hard to recruit these
21 patients seemed to be fairly compelling. So I decided
22 to abstain.

1 DR. MCLEOD: I'm Howard McLeod. I voted no
2 because the data that could be obtained in the
3 dialysis and peridialysis setting would, I believe,
4 tell us enough information to help with this category
5 of patient without having to go out and do a straight
6 study.

7 DR. MAGER: Don Mager. I voted no. I
8 agreed with the comments before, that I suspect a
9 serious problem with feasibility. And I haven't seen
10 data to justify a strict criteria.

11 DR. VENITZ: Well, I'm Jurgen Venitz. I
12 voted no for the reasons that you heard about.

13 DR. COLLINS: Jerry Collins. I voted no for
14 similar reasons. I just think that you get the most
15 information for the cost and the resource of the study
16 if you match the groups that are studied with the real
17 world of what the patients are like there.

18 DR. KEARNS: Greg Kearns. I voted no for
19 the feasibility issue and also agreeing that carefully
20 studied patients between periods of dialysis give you
21 the physiologic information that you need to answer
22 the pharmacologic issue.

1 DR. CALDWELL: Michael Caldwell and I voted
2 no because I believe this is a vanishingly small
3 population of patients. And I believe you can get the
4 data that you need from dialysis patients.

5 DR. STEVENS: Lesley Stevens. I voted yes
6 because I take care of these patients. I know they
7 exist, although understand my perspective may be
8 skewed. I also think that for people with low levels
9 of GFR, if they have to be on dialysis, they're
10 probably sicker and have other medications, so it may
11 have more interactions. And so this may provide some
12 valuable information.

13 In a practical sense, I may say less than 20
14 versus less than 15. But I think between 20 and 30,
15 there's a -- it's quite different from when the very
16 low levels of GFR, and it's worth, in these limited PK
17 studies, to identify such a group.

18 DR. BARRETT: Jeff Barrett. I voted no for
19 similar reasons, the feasibility predominately. And
20 then most of the emphasis on the single group
21 comparison with low sample size.

22 DR. CAPPARELLI: Edmund Capparelli. I voted

1 no for the feasibility as well as the lack of a
2 demonstration that there really was a worst case
3 scenario in that group not on dialysis, compared to
4 dialysis patients in between dialysis.

5 DR. VENITZ: Okay. Let's switch back. I
6 think we have a Question 1(b), if anybody wants to
7 take a shot at that. I think you heard some of our
8 comments, that dialysis patients would be appropriate,
9 or maybe using less than 30 as a cutoff. Is there any
10 other comments that anybody wants to make?

11 [No response.]

12 DR. VENITZ: All right. Let's now move to
13 our religious war of the day, the MDRD versus
14 Cockcroft-Gault. Question 2. We'll get it on the
15 screen in a minute.

16 Okay. I think the question that we are
17 asked to answer -- you all saw the table that Dr.
18 Huang presented. And the question that -- that's the
19 table that has both the Cockcroft-Gault and the MDRD
20 with the different dosing recommendations. The
21 question we are supposed to vote on: Do you agree
22 that this type of table is the best way to present

1 these data and would provide recommendations to
2 prescribers?

3 Are there any questions to the question, or
4 do we all know what we're voting on? And I would
5 slightly, in my mind, modify "best way." I would say
6 it's an acceptable way. That's what I say in my mind.
7 How much -- there might be better ways.

8 Dr. Dowling?

9 DR. DOWLING: I guess just a question on the
10 table itself. With eGFR, there's a superscript b
11 there that says, "Estimate of GFR based on the MDRD
12 equation."

13 Now, with the other equations that are on
14 the horizon, you know, are we voting on this as in its
15 present form or with the modification potentially of
16 whatever eGFR equation is relevant for the time frame?

17 DR. VENITZ: Dr. Huang?

18 DR. HUANG: In our guidance, we gave as an
19 example the most recent four equation -- four
20 parameter MDRD equation. But it would be what's being
21 reported out at that time, and at the National Kidney
22 Foundation's recommendation. And currently, it's the

1 four-parameter equation.

2 So that is what we're recommending at this
3 point, eGFR, estimated GFR.

4 DR. DOWLING: So I guess the final question,
5 then, is we're going based on whatever the National
6 Kidney Foundation is guiding us?

7 DR. HUANG: No. Right now we just say we
8 are basing on the MDRD equation. And we gave an
9 example in our guidance as a four-parameter equation.
10 So I guess for today's voting, I would use that.

11 DR. DOWLING: The MDRD specifically? Okay.

12 DR. HUANG: Yes.

13 DR. VENITZ: And the units would be mls per
14 minute per 1.73 meters squared. Would you add a
15 footnote to point out that you need BSA to calculate
16 the individual clearance, as a friendly amendment?

17 DR. HUANG: You would need a BSA in order to
18 convert it to ml per minute.

19 DR. VENITZ: But I'm saying would you put
20 that as part of the table so the prescriber can
21 actually be reminded of that?

22 DR. HUANG: Yes.

1 DR. VENITZ: Okay. Dr. Collins?

2 DR. COLLINS: Does that mean that FDA wants
3 us to vote on whether to have side-by-side comparison
4 of different units in the table?

5 DR. HUANG: That was our original intent,
6 but we did hear a lot of feedback today about --

7 DR. COLLINS: Yes. I mean, I think there's
8 sentiment for having both approaches available. But
9 some people who would ordinarily vote yes would vote
10 no if there's different units in the table. And I
11 don't even see any heads nodding around the table now.
12 I mean, maybe you could just caucus for a minute
13 before we vote?

14 DR. VENITZ: That's what we're doing right
15 now.

16 DR. COLLINS: Okay.

17 DR. FLOCKHART: Well, a way structurally to
18 do with it would be to propose an amendment to the
19 question.

20 DR. VENITZ: Want to propose one?

21 DR. FLOCKHART: It's not my position. I
22 mean, I --

1 DR. VENITZ: Okay. Well, I think I've
2 already amended the table to reflect that at least
3 it's pointed out in a footnote that the units are
4 different, and in order for you to take, for the
5 prescriber to take advantage of the MDRD approach,
6 they will need the BSA and they would have to
7 calculate it.

8 Dr. Lesko?

9 DR. LESKO: Just to be clear on that, so the
10 Table 1 in the -- under Question 2, if the eGFR was
11 mls per minute with the understanding that there would
12 be a conversion using BSA on an individual basis and a
13 column 2 with clearance creatinine, is that the
14 amendment or is that what would be acceptable or --
15 I'm trying to be clear on how to change that because I
16 think the question on the table is the units are
17 confusing.

18 DR. VENITZ: Without knowing what the BSA
19 is, you have to then base it on the assumption that's
20 the average. It's 1.73. You cannot individualize it
21 into mls per minute unless you know what the BSA of
22 the patient is.

1 DR. LESKO: So the best to do is some sort
2 of note in the table --

3 DR. VENITZ: Right.

4 DR. LESKO: -- to make the calculation or
5 conversion.

6 DR. VENITZ: Dr. Stevens?

7 DR. STEVENS: I would make a further
8 amendment to yours to actually change the table based
9 on mls per minute for both equations because the
10 sponsor who's going to do the pharmacokinetic study
11 and make the drug label can actually do that
12 calculation. And then it's the clear message to the
13 clinician that they have to make that.

14 So I will go further and make a friendly
15 amendment to your amendment.

16 DR. VENITZ: Everybody understand the
17 amendment and the amendment to the amendment?

18 DR. FLOCKHART: I think we might ought to
19 restate what the whole thing we're voting on is.

20 DR. VENITZ: Yes. Can you go back to the
21 table? Okay. If you look right, now on the right-
22 hand side, that's the -- I'm sorry, the left-hand

1 side. That's the eGFR. That is right now listed in
2 mls per minute per 1.73 meters squared.

3 So there will be a footnote that says, in
4 order for you to individualize it, you need to know
5 the patient's BSA. Right?

6 Your amendment, Dr. Stevens?

7 DR. STEVENS: So my amendment is that the
8 sponsors use the MDRD making the adjustments because -
9 - and the PK studies are based on that. Therefore,
10 really what you could just have is a column, eGFR or
11 e-creatinine clearance, and then mls per minute.

12 DR. LERTORA: Again, for the sake of
13 clarity, now, the question says, do you agree that
14 this type of table is the best way to present this
15 data, and would provide clear recommendations to
16 prescribers?

17 So while, you know, that may be a valid
18 thought as far as what the drug development companies,
19 you know, would do in terms of presenting the data,
20 the question here is that would this kind of table
21 provide clear recommendations to the prescriber. And
22 that's what I'm considering.

1 DR. VENITZ: Shiew Mei?

2 DR. HUANG: Well, the original intent is
3 in the next couple years, hopefully we will have
4 information using both methods, and we will be able to
5 see whether there are large differences. And if there
6 are -- only if there are differences, then we will
7 want to display.

8 If we ignore the BSA, then we are
9 essentially -- I don't know how we display it because
10 you would have two different outcome, but you have the
11 same ml per minute. And that would be very difficult
12 to have.

13 DR. COLLINS: Let's be clear that the column
14 on the left is originally calculated as mls per
15 minute. And then it's divided by the BSA and then
16 printed there, and then you have to take the BSA and
17 re-multiply it.

18 So the original calculation on the left is
19 in mls per minute, and then you're moving it back to
20 per surface area, and then moving it back to
21 milliliters -- let's say that in oncology we want --
22 oncology and infectious diseases are the two main

1 culprits for why "per 1.73" -- you know, they're the
2 only disciplines where doses are given -- and with
3 pediatrics, it's just a first approximation.

4 But in oncology, there's still a lot of
5 doses that are given in milliliters per minute per
6 meters squared, or dose is milligrams per meters
7 squared. None of the new drugs that are being
8 developed use that, and many of the old ones are
9 trying to be converted back. We learned the hard way
10 from dosing errors.

11 The dosing areas of oncology -- errors in
12 oncology are frequently fatalities or severe
13 morbidity. We don't need to export those mistakes to
14 other therapeutic disciplines, I don't think.

15 DR. HUANG: The only reason I say that,
16 because when we construct this dosing recommendation,
17 it was based on MDRD. MDRD is -- I mean, on the left
18 side, is with the 1.7 meters squared.

19 But when you want to apply it to a patient,
20 then you need to know their ml per minute. Once you
21 have MDRD -- well, you actually have the 1.73 meters
22 squared in the equation already. If you use MDRD,

1 that is the number that you have.

2 So I don't see why you need to convert.

3 Once you've fit into MDRD equation, you come up with
4 that number.

5 DR. VENITZ: Okay. Then let me propose we
6 have no amendments. We're voting on this table.
7 Because I don't think we're going to solve it today,
8 at least not within the next 35 minutes.

9 So we're voting on the table as it is right
10 now, and being asked the question whether we think
11 that's the best way of presenting the data.

12 DR. KEARNS: Just a proviso that may prevent
13 me from voting no, then having to explain. And I
14 apologize; this sounds like a broken record. But
15 people who treat children read the drug labels
16 occasionally, and when they're looking for guidance
17 for renal dosing, they take right out of the table.

18 Every formula that estimates GFR in children
19 estimates it as mls per minute per 1.73 meters
20 squared. So if you put this table in and you maintain
21 the two different units, even though the data may not
22 be intended for use in children, like 70 percent of

1 all the data that's published in a drug label, at
2 least people will have some direction that they can
3 make physiologic sense out of.

4 If you reduce those units back to Cockcroft
5 and Gault units, then people will make mistakes.

6 DR. FLOCKHART: So, Greg, you would be for
7 purely per-meters-squared data?

8 DR. KEARNS: I can deal with -- it's easy
9 for me to look on the left hand of that column or the
10 right hand of that column. So I know if I got a kid,
11 and I've estimated the GFR, and it's mls per minute
12 per 1.73 meters squared, and I want some guidance from
13 adult studies, I'm going to look at the left side of
14 that table. I'm going to ignore the right side.

15 DR. VENITZ: Okay. Any further discussion?

16 [No response.]

17 DR. VENITZ: Okay. Then I'll call for the
18 vote. I think you know the instructions, and I think
19 we've got 20 seconds to push a button.

20 [Vote taken.]

21 DR. VENITZ: We have our final tally. We've
22 got 5 yes, 11 no, and no abstentions.

1 Let's start on the left-hand side with
2 Dr. Capparelli.

3 DR. CAPPARELLI: Yes. I voted no. I think
4 that the idea of incorporating eGFR from the MDRD
5 equation is going to be important. But as it's
6 currently structured here, it's confusing. And so I
7 think some of the suggestions that went around in
8 terms of potential amendments, I think would rectify
9 that. I think that -- but this, as the best way of
10 presenting the data to prescribers, I just think it's
11 not quite there.

12 DR. BARRETT: Jeff Barrett. I voted no as
13 well. Similarly, I mean, I just don't think this --
14 we can't even decide its clear representation around
15 the table. So I think in the prescribing community it
16 would be doubly hard.

17 One other point, though, that wasn't brought
18 up. There are -- this example just so happens to have
19 the information be the same in each of the stages.
20 But, you know, as we talked about, there could be
21 certainly scenarios where they're not going to agree.

22 I think there, any time you have, you know,

1 the left-hand side stage description the same and then
2 the guidance being different, again, understanding
3 that the units are different, it just requires another
4 level of interpretation for the caregiver. And it's
5 2:00 in the morning. You got to make a quick
6 decision. It's another bit of uncertainty they don't
7 need.

8 DR. STEVENS: Lesley Stevens. I voted yes.
9 I just think that this is not necessarily optimal, but
10 I think any introduction of eGFR will help the
11 clinicians. And I think the table will be easy to
12 follow, and they will figure it out.

13 DR. CALDWELL: Michael Caldwell. I voted
14 no. I agree that that may be what happens in the
15 future, but I think this is not ready for prime time,
16 and most physicians would just look at the right-hand
17 side and completely ignore the left-hand side at this
18 point.

19 DR. KEARNS: Greg Kearns. I voted yes
20 because I believe that the agency can clean this thing
21 up and add all the appropriate stuff to it that we
22 discussed about. So it's a little bit of a vote on

1 faith, and that's it.

2 DR. COLLINS: I voted no because I think the
3 agency can clean this up.

4 [Laughter.]

5 DR. VENITZ: My name is Jurgen Venitz. I
6 voted no, and I'm seconding both of my preceding panel
7 members.

8 DR. MAGER: Don Mager. I voted no. I think
9 it just needs some work to be cleaned up for prime
10 time.

11 DR. MCLEOD: Howard McLeod. I voted no for
12 the lack of consensus.

13 MR. GOOZNER: Merrill Gozner. I'm the
14 consumer representative. And I voted yes because, you
15 know, it struck me, from a completely different field,
16 that more information is better than less information.

17 Based on the idea that there's a religious
18 war going on out there -- I like that -- therefore,
19 you know, you're going to have practitioners who are
20 going to be using one or the other and thinking in
21 those terms. And so it's probably good to have both.

22 DR. FLOCKHART: I'm Dave Flockhart and I

1 voted yes because I believe we were in the process of
2 amending this to something that was acceptable, which
3 should be a word that's in the language. And again, I
4 trust the agency to clean it up and make it acceptable
5 and communicatable.

6 DR. HARRALSON: Art Harralson. I voted yes.
7 I edited it myself to "acceptable."

8 [Laughter.]

9 DR. HARRALSON: And I think at the stage
10 we're at, people can use both sides of it, depending
11 on your particular bent. And I guess for me, the
12 underlying question is, which better relates to drug
13 clearance? Even though we have good evidence relating
14 to GFR, we don't have good evidence relating
15 specifically to drug clearance, and it may be
16 different for different drugs.

17 DR. DOWLING: I'm Tom Dowling. I voted no.
18 I do agree that it's important to start to look at the
19 relationship between eGFR and drug pharmacokinetics.
20 But at this point, it just seems to me for
21 practitioners, it's too easy to go to this table and
22 use the automated, reported eGFR and plug that in, and

1 end up with dosing, significant dosing errors.

2 DR. LERTORA: Juan Lertora. I voted no,
3 basically for the reasons I stated earlier, that I
4 think this, as currently constructed, it would be
5 confusing to the prescribers.

6 DR. THUMMEL: Ken Thummel. I voted no. If
7 you're going to make a change, get it right the first
8 time.

9 DR. GIACOMINI: Kathy Giacomini. I voted
10 no. I like the idea of the two, but I like it all in
11 mls per minute, one unit.

12 DR. VENITZ: Okay. Thank you, and I think
13 you have enough comments to deal with part (b).
14 Right?

15 Then, with the last 35 minutes looming
16 around the corner, let's move to our final topic,
17 which is a whopper, the drug transporter topic. And
18 again, we have at least one, I think two voting
19 questions. But I would like for us to have an
20 opportunity to maybe spend ten or not more than
21 fifteen minutes to talk in general about this topic
22 before we go to the votes.

1 Let me go first. I have a whole bunch of
2 issues that I'd like to bring up in the record,
3 regardless of the vote.

4 I think part of what concerns me in making
5 this a general rule is the ability to actually
6 translate in vitro information to in vivo.

7 A couple of things that I took note while I
8 was listening and as I was reviewing especially the
9 ITC paper, the first one, I think, was mentioned
10 briefly by Dr. Polli, and that is: Are those
11 inhibitors that are supposed to be used in vitro
12 and/or the cell lines, are they available so companies
13 can use it, or are they only available if you're in
14 big pharma?

15 The second is a more scientific question.
16 Are those selective inhibitors, in particular, if you
17 don't have cell lines, as selective as we think they
18 are? And I'll note that cyclosporine is listed as a
19 selective P-gp inhibitor even though it also inhibits
20 MRP2. So I also note that in your decision tree, you
21 put in at least one or more inhibitors.

22 So how selective can we really conclude, or

1 how conclusive can we really conclude based on
2 presumably selective inhibition studies whether an NME
3 is a substrate or not?

4 The next item has to do with extrapolating
5 that to in vivo potential for interaction, where
6 you're using different concentrations. And I noticed
7 that you're using unbound concentration as it relates
8 to portal vein or systemic concentrations.

9 If my memory serves me right, for drug
10 interaction at the metabolic levels, we're using total
11 concentrations to look at the CP over IC50 ratio. So
12 again, what's the difference if you're talking about
13 systemic inhibition, not necessarily pre-systemic
14 inhibition?

15 A general question that I think I have on
16 this whole method that you have those decision trees:
17 Has anybody ever looked at whether they work? I mean,
18 or is it just something that we take based on
19 mechanistic evidence, we take that for face value?

20 Two more things. The last thing, or the
21 second-to-last thing, we always have a box that tells
22 us there's going to be in vivo follow-up. What does

1 that mean? What kind of studies? And the same
2 questions. How selective are whatever in vivo
3 inhibitors are? How selective are the substrates that
4 we're proposing, or that you're proposing to do
5 clinically?

6 Then in one of the diagrams, where you're
7 going to assess whether a drug is likely biliary
8 excreted or not, you have a 25 percent cutoff on
9 biliary excretion. What study would be required in
10 order for you to conclude that? It's pretty easy to
11 conclude whether something is 25 percent renally
12 limited or not, assuming that you have it IV.

13 But what about the 25 percent biliary
14 excretions? You would need ADME studies. You would
15 need IV ADME study as far as I can tell. That's the
16 only way you can truly conclude that.

17 So those are the kind of issues that I'm
18 just throwing out for you all as you consider the
19 comments from the panel in general.

20 Any other comments, questions, before we
21 proceed with the votes? Dr. Huang?

22 DR. HUANG: Just a quick comment. We would

1 like the committee to vote on the importance of those
2 transporters, and the criteria we put out are based
3 on -- mostly on in vivo.

4 What we know about in vivo under these
5 conditions, then we study this as a substrate. Under
6 this condition, we study. But we didn't say you must
7 study in vitro. So you could go directly to in vivo
8 or you could do in vitro and then follow by in vivo.
9 I just want to make it clear in case. Otherwise, we
10 will need to spend time in talking about how in vitro
11 would project in vivo.

12 So the vote is more of the initial step, as
13 you have mentioned. How do we determine 25 percent
14 hepatic involvement or 25 percent of the active
15 secretion? That's the key. So these are what we want
16 to get the committee to vote, on the importance of
17 these transporters and the criteria that put out.

18 Just a very quick question because I have to
19 leave. On cyclosporine or the non-specific
20 inhibitors, if you use those to study and the results
21 are negative, then we could say you don't have to do
22 all these other inhibitors study.

1 DR. VENITZ: But I'm worried about the
2 opposite, that you have a cyclosporine catechol-2
3 [inaudible] interaction and you conclude it's a P-gp
4 substrate, and it's not.

5 DR. ZHANG: Yes. We noticed there is a lot
6 of overlapping, either substrate or inhibitor. But
7 sometimes we were able to use those nonspecific
8 inhibitor if we know from in vitro data the substrate
9 is specific for one of the transporter. Then we still
10 can use them because we know only that transporter
11 will be involved, or that drug only have the potential
12 to interact with that transporter.

13 So it's all relative. Yes, if you have
14 multiple selectivity for both substrate and inhibitor,
15 yes, it is hard to explain. You just have to say all
16 these transporter may be involved, especially if you
17 have a positive in vivo data.

18 DR. VENITZ: Any other questions or
19 comments? Dr. Thummel?

20 DR. THUMMEL: My question was what happens
21 to those drugs for which you don't have IV data?
22 You'd have no regress assessment of fraction renally

1 cleared. What's the intent then?

2 DR. ZHANG: Yes. We just putting the --
3 it's just like ask the sponsor to collect all the data
4 they possibly could collect. Could be either from in
5 vivo animal data or other sources. Just like to
6 think -- prompt them to think how they get a sense of
7 how important renal is and how important hepatic is.

8 But they will ask that question during the
9 ADME studies, and also to determine whether they need
10 to do a hepatic impairment study or renal impairment
11 study. So these all could be connected.

12 DR. VENITZ: Dr. Polli?

13 DR. POLLI: Thank you very much. I'll do my
14 best to try to go quickly through a few of the
15 answers.

16 Inhibitors in substrate assays, certainly a
17 challenge. Some of these reagents are commercially
18 available. Some are not. Most are not selective at
19 all. I would say that we don't have any selective
20 agents.

21 However, from a drug interaction point of
22 view, clinically we want to know what the dose

1 adjustment is, and then we can backtrack potentially
2 the mechanism. I think that's, to me, the first thing
3 in my mind, is do we need to make an adjustment? And
4 then we can sort of backtrack mechanism if we have to.

5 DR. VENITZ: But those decision trees read
6 differently. They say you have an NME, and you now
7 have a selective inhibitor, and then you conclude it's
8 a substrate or not. Right?

9 DR. POLLI: I understand. In vitro, you
10 know, obviously if you over-express a certain
11 transporter, you can get at this first. But again, I
12 think Dr. Zhang really sort of hit it. It's an
13 integration of all your preclinical data.

14 What do we understand on the disposition in
15 preclinical species, and does it sort of fit what we
16 understand clinically at that point? So we're always
17 going to be reassessing the information to try to
18 predict where we need to go.

19 You asked about the decision trees have been
20 vetted. I wouldn't say they all have been vetted. I
21 think the OETP1, the ITC, we spent quite a bit of time
22 sort of validating that as an approach. We actually

1 had several approaches that both worked equally.

2 We ended up on this portal vein decision
3 because we felt it was the most straightforward for
4 the average person to calculate. So you didn't have
5 to be an expert. And I think we ran 14 or 15
6 compounds through it, and we were quite good at
7 predicting an interaction clinically.

8 I think the other question around free
9 fraction versus total, I think you'll see in the next
10 year a number of papers, even around CYP enzymology,
11 where free fraction actually is quite a good
12 estimation of the drug interaction over total. I
13 think modeling and simulation has really pushed us to
14 do a better job than we have, you know, since the
15 previous guidance, which was a good place to start.
16 Thank you.

17 DR. VENITZ: Thank you. Dr. Zhang?

18 DR. ZHANG: I just want to add to that is
19 the P-glycoprotein. We propose like .1 cutoff at the
20 last AC meeting. Then subsequently we did a further
21 study just to collate in vitro to in vivo, and we
22 found unbound actually is less predictive than total

1 concentration. But if you add a drug dose, the
2 intestinal concentration, into the picture, have it
3 like cutoff of 10, that can capture a lot of false
4 negative.

5 So a lot of research is still ongoing, even
6 in the P-gp area. We are sure we can learn from that
7 and to apply to some other transporters. Thank you.

8 DR. VENITZ: Dr. Giacomini?

9 DR. GIACOMINI: Yes. I guess we're going to
10 be voting, I guess, on the important transporters that
11 were up there and presented in the white paper, and
12 whether we agree they're important. I just want to go
13 through what the ITC had a lot of deliberations on
14 deciding which transporters were important.

15 We really looked for overwhelming clinical
16 data that the transporters played a role. And
17 sometimes that was in the form of genetic
18 polymorphisms of these transporters, where you had
19 individuals with a polymorphism. You gave them a
20 drug. You clearly showed it.

21 So it wasn't based on these so-called dirty
22 inhibitors that might be inhibiting a lot of other

1 things. So I want to say that those transporters on
2 the list were highly vetted, and there are more
3 transporters that are sort of in the pipeline. But at
4 least on the first question, that was very strongly
5 looked at for clinical evidence.

6 DR. MAGER: Just a quick question on the
7 criteria. It said clearly in the white paper that
8 there was not a complete consensus from the ITC group
9 to some of the criteria, full changes in some of the
10 parameters. And I'm wondering, will those continue to
11 be updated, or has this consensus been reached?

12 DR. ZHANG: Yes. You raise a good point.
13 These are be continues to be looking at. We propose
14 those decisions as a starting point to prompt the
15 sponsor to think around that direction more and
16 collect more data. Based on the data we generated,
17 maybe we will -- it will be continuous monitoring
18 those decision tree and will be modified based on the
19 data.

20 DR. VENITZ: Dr. Lesko?

21 DR. LESKO: One of the questions that's come
22 up as we discussed this issue was that we have at this

1 point, unlike CYPs, a limited number of, let's call
2 them inhibitors of NMEs via the transport process.
3 So, for example, one of the model drugs that appears
4 in the tables that were shown quite a bit is
5 cyclosporine. It's not your average, everyday drug
6 that is going to be given to people.

7 The other area is the HIV/AIDS drugs. So
8 again, drugs that are used in very specific,
9 relatively small subsets are those that we know now to
10 be the offenders, if you will.

11 So one of the questions we've sort of tossed
12 around is, how generalizable is that kind of
13 information? That is, how big is the problem when
14 you're only looking at offending drugs that are
15 limited to a subset of the population, let's say
16 transplant patients?

17 So what can we get from a cyclosporine
18 interaction in terms of other, let's say potentially
19 more common drug interactions that may occur in the
20 general population?

21 DR. FLOCKHART: If I could draw the analogy
22 with the CYP world. I mean, for many, many years,

1 what we've done with drug interactions with
2 cytochromes is, in the drug approval process -- and I
3 can hear Bob Temple saying this now many, many times -
4 - because of the concern for safety, you look for the
5 worst possible thing.

6 So we've used ketoconazole for years and
7 years. And if I went around this room and asked for a
8 highly specific drug that hits one -- that's not
9 ketoconazole, you know. We use that because it's the
10 worst case scenario.

11 Now, the difference between that scenario
12 and this one, though, is that ketoconazole is not
13 cyclosporine. And it's okay, I mean, it's relatively
14 easy to do a one-, two-, even three-week study with
15 ketoconazole with a little possibility of liver
16 dysfunction as a concern.

17 Cyclosporine, that's different. For me to
18 take a normal volunteer, or even someone who's sick,
19 and give them a toxic thing like cyclosporine is
20 really a different question. So I guess this just
21 emphasizes the point that we don't have the tools yet.

22 DR. VENITZ: Okay. Are we ready for the

1 vote, given the time that we have? Okay. Then you
2 have the question right in front of you. We are
3 voting on Question 1(a):

4 Do you agree that P-gp, BCRP, OATP1B1/1B3,
5 OAT1 and 3, and OCT2 are the major transporters that
6 should be routinely evaluated based on the proposed
7 flow chart during drug development? You've only got
8 20 minutes to -- 20 seconds.

9 [Laughter.]

10 DR. VENITZ: Twenty seconds to press one of
11 three buttons.

12 [Vote taken.]

13 DR. VENITZ: Let's start with Dr. Capparelli
14 this time.

15 DR. CAPPARELLI: I voted yes. And while I
16 think this is a much more difficult clinical question
17 from the standpoint of coming up with dosing and
18 understanding the interactions, I think this is a very
19 good start.

20 So we may not have the tools. We may have
21 different issues in the sense that we're not just
22 looking at systemic PK but tissue issues. But I think

1 that this breaks down some of the major components
2 that -- when we get drugs in pediatrics, we're always
3 asking, what is the adult information here so we can
4 extrapolate? So I voted yes.

5 DR. BARRETT: Jeff Barrett. I voted yes as
6 well. I wanted to commend the committee on the
7 transparent process. And I think one of the important
8 things in saying yes was that I had the confidence
9 that this was going to evolve over time, and that when
10 new information was available, this would get updated.

11 DR. STEVENS: Lesley Stevens. I voted yes
12 also. It just seems like a very good start for a
13 complex area.

14 DR. CALDWELL: Michael Caldwell. I voted
15 yes because I think that the data as they were
16 presented were compelling. And I think the tools are
17 coming kind of quickly in this area.

18 DR. KEARNS: Greg Kearns. I voted no. I do
19 agree that those transporters are reasonable. I have
20 an issue with the flow chart, and the issue of the
21 availability of tools. It's really easy to make hard
22 and fast discrimination points, and I agree this is a

1 great start. But throwing this out there to the
2 pharmaceutical industry, it's just not ready for prime
3 time yet and we need more tools.

4 DR. COLLINS: Jerry Collins. I voted no. I
5 think it's a great start, not only within FDA but
6 within industry and within the consortium. But I just
7 can't vote for routinely recommending in vivo studies
8 of four -- for four transporters both as substrates
9 and inhibitors.

10 Before Shiew Mei left, she agreed that the
11 in vitro tools can't be used as a pre-screen. So that
12 means for every new molecular entity, the routine
13 expectation will be to do eight in vivo studies. And
14 that, to me -- you know, it'll get better.

15 But until we have some pre-screen for that,
16 the magnitude of the efforts, even with cyclosporine,
17 Larry, don't come anywhere near what it was for
18 metabolism-based drug interactions. At the time the
19 first guidance went out, 10 was considered sort of the
20 minimum value, and we had cases of 20, 50, and 100 at
21 that point.

22 So it'll get better, but it's not urgent.

1 It would be better if we invested our resources in
2 improving the tools rather than buying a lot of
3 needless clinical studies.

4 DR. VENITZ: I'm Jurgen Venitz. I voted no
5 on the third in a row of the no bloc. And I think I
6 couldn't have said it any better than Jerry Collins
7 did.

8 DR. MAGER: Don Mager. I voted yes. I
9 think this is a great start. I share concerns about
10 availability of in vitro assays and some of the
11 components. But I like the white paper. I like the
12 clinical focus. And I think this is a great way to
13 move forward.

14 I would also like to see, though, in terms
15 of criteria a move to include modeling and simulation
16 as well as a component of that.

17 DR. MCLEOD: Howard McLeod. I voted no. I
18 think it is also an exciting area. Jerry summarized
19 most of my reason for voting no.

20 I would ask that, in the future, every time
21 we want to add something, we should also identify
22 something we want to take away because I think the

1 burden currently on industry is at the point where we
2 need to stop adding and keep it as a net same number.

3 MR. GOOZNER: I'm Merrill Goozner. I voted
4 yes because I think the tests seem to pan out, and
5 more information is better than less.

6 DR. FLOCKHART: I'm Dave Flockhart. I voted
7 yes for two reasons. One is I assumed that this is
8 not something that will stand forever in time, just
9 because of the small number of transporters. I mean,
10 tomorrow or next week there may be more data that
11 suggests more important things. So this can't be
12 something that will stand forever. I also voted yes
13 because, to directly address Jerry Collins' point, I
14 don't view this as legislative. It's a guidance.

15 DR. HARRALSON: Art Harralson. I voted yes,
16 but I also have issues, or not issues, but I'm
17 concerned about the flow charts and I think they need
18 to evolve a little bit on those. And I guess I would
19 be shocked if there were many drug companies that were
20 not actually looking at this already. It's, I think,
21 the tip of an iceberg and we're going to see a lot
22 more action. So I'm voting yes primarily on the fact

1 that if they were thinking of not doing it, that they
2 should.

3 DR. DOWLING: Tom Dowling. I voted yes. I
4 think the transporters listed there, as Dr. Giacomini
5 had mentioned, that those had been vetted fairly
6 rigorously through the ITC. And I think there's good
7 clinical evidence to show drug PK related to changes
8 in those transporters.

9 DR. LERTORA: Juan Lertora. I voted yes.
10 And again, I was persuaded that there is sufficient
11 information in terms of the role these transporters
12 play or may play in terms of drug interactions and
13 perhaps drug toxicity, that again, I felt comfortable
14 with the available information.

15 DR. THUMMEL: Ken Thummel. I voted yes. I
16 think the pre-clinical tools are there available. And
17 the white paper makes a compelling case for the
18 inclusion of these transporters.

19 DR. GIACOMINI: Kathy Giacomini. I voted
20 yes. As part of the International Transporter
21 Consortium, I felt like, that those transporters had
22 been well vetted.

1 DR. VENITZ: Okay. Thank you. Just one
2 thing: I failed to officially, for the record, read
3 the final count. We had 12 in favor, 4 against, and
4 no abstention.

5 Now, getting back to our Question 1, we
6 still have subsection (b) and (c). Are there any
7 additional comments that any member wishes to make?
8 Again, I believe that the comments that you got when
9 we went around the table pretty much address it.
10 Right?

11 DR. ZHANG: Yes.

12 DR. VENITZ: Thank you. Any additional
13 comments?

14 [No response.]

15 DR. VENITZ: All right. Moving right along,
16 Question No. 2, which I think has again a subpart that
17 requires us to vote. So the way I see the difference,
18 here we are voting on whether the proposed flow charts
19 allow to study the inhibitory potential as opposed to
20 the potential as a substrate for an NME. I think
21 that's the only difference. And the question, I
22 think, is worded basically the same way -- I mean, the

1 question (a) is worded the same way.

2 Any questions about Question 2(a)?

3 [No response.]

4 DR. VENITZ: Everybody knows what we are
5 voting on?

6 [No response.]

7 DR. VENITZ: Then you've got the Jeopardy
8 music for 20 seconds.

9 [Vote taken.]

10 DR. VENITZ: So our final tally is similar
11 to the previous one. We've got 11 yes votes, 5 no
12 votes, and no abstention. Let me start with Dr.
13 Giacomini.

14 DR. GIACOMINI: Yes. So this is about
15 inhibitors, so I voted -- I'm Kathy Giacomini. I
16 voted yes. I feel like we know a lot about
17 inhibitors, and there are a lot of tools to address
18 whether an NME is an inhibitor. And I also liked
19 using the unbound concentrations for the clinical
20 go/no-go decision.

21 DR. THUMMEL: Ken Thummel. I voted yes for
22 the same reasons stated.

1 DR. LERTORA: Juan Lertora. I voted yes,
2 again persuaded by the information available about the
3 potential value of these studies.

4 DR. DOWLING: Tom Dowling. I also voted
5 yes. Again, I think these are important transporters
6 to be evaluated in drug interaction studies.

7 DR. HARRALSON: Art Harralson, and I voted
8 yes again for consistency. And I think the flow chart
9 I'm not so sure about. But definitely, we should be
10 looking at it.

11 DR. FLOCKHART: Dave Flockhart. I voted no
12 because I do have problems with the flow chart, and
13 because of the lack of specificity of the clinical
14 inhibitors.

15 MR. GOOZNER: Mel Goozner. I voted yes for
16 the same reasons before. And I would just make the
17 additional comment that, addressing something that
18 Dr. McLeod said earlier, potentially, I think, doesn't
19 this make the potential for drug development to be
20 more efficient rather than less efficient if it
21 identifies things early. So just a thought.

22 DR. MCLEOD: Howard McLeod. I voted no for

1 all the reasons that Jerry Collins is going to
2 eloquently tell us about in a second. No, I voted no
3 for the same reasons that we discussed during the last
4 vote.

5 DR. MAGER: Don Mager. I voted yes, pretty
6 much for the same reasons as before. I also share
7 concerns with the flow chart, but I understand that
8 this is a guidance, and that this is going to be
9 continually updated. And again, I'd like to see
10 modeling and simulation criteria considered in the
11 future.

12 DR. VENITZ: This is Jurgen Venitz. I voted
13 no for the same reasons that I did before. But in
14 this particular case, I would also add again my
15 discomfort with using unbound versus bound
16 concentrations and those ratios that you're using
17 right now. What is the relevant inhibitor
18 concentration either in the gut or in the systemic
19 circulation? And until I've seen peer review to
20 actually show that it works, I'm not convinced that it
21 will.

22 DR. COLLINS: Jerry Collins. I voted no for

1 the same reasons as before, and also because I'm aware
2 of the thin line between what a guidance is and what
3 rapidly becomes a requirement.

4 DR. KEARNS: Greg Kearns. I voted no, and
5 Jerry just summed up the reasons, including the
6 guidance versus requirement.

7 DR. CALDWELL: Michael Caldwell, and I voted
8 yes for the reasons I gave earlier.

9 DR. STEVENS: Lesley Stevens. I voted yes
10 for the same reasons I gave earlier.

11 DR. BARRETT: Jeff Barrett. I voted yes
12 because I didn't want to be a flip-flopper.

13 DR. CAPPARELLI: Edmund Capparelli. I voted
14 yes for the same reasons as before.

15 DR. VENITZ: Okay. Thank you. Any
16 additional comments that anybody wishes to make about
17 (b) or (c)?

18 Hold the horses, we've got a request to go
19 back to Topic 1, Question 1, and actually at least
20 show a show of hands to see how we agree or disagree
21 with some of the genomics. So if you don't mind, we
22 still have a few minutes left. I'd like for us to do

1 that.

2 Topic 1, the pharmacogenomic question,
3 Question 1. And we are asked to basically answer for
4 each of the subparts (a), (b), and (c). So the
5 question is: Should it be mandatory to collect
6 samples? And then we go down (a), (b), and (c). and
7 just if you agree with it, just raise your hands.

8 So the question is, should it be mandatory
9 to collect DNA samples in any of the following drug
10 development contexts? So first to vote on exploratory
11 clinical studies in the preapproval phase of drug
12 development. If you're in favor, please raise your
13 hand. If not, please don't. Okay. So I -- yes, we
14 are going to go (a), (b), and (c). Each is a
15 different voting question.

16 DR. ZINEH: And they're not intended to be
17 mutually exclusive categories.

18 DR. VENITZ: All right. So we're voting on
19 (a). Anybody that thinks that it should be mandatory
20 to collect samples for exploratory clinical samples in
21 the preapproval --

22 DR. CAPPARELLI: Just for clarification, we

1 had a discussion earlier about the ethics of being
2 able to -- within the scope of this, there's the
3 allowance of subjects to decline to participate. Is
4 that correct?

5 DR. VENITZ: I don't see any reason why not.
6 But I'm not trying to put words in the -- is that
7 correct?

8 DR. ZINEH: Yes. You know, with all the
9 caveats that we -- where allowed by ethics and IRB
10 committees, if you will.

11 DR. FLOCKHART: So the word "mandatory" is
12 actually "acceptable"? Is that amendment --

13 DR. VENITZ: Strongly encouraged. It's your
14 wording. It's not mine. What would you like to
15 reword it to make it palatable?

16 DR. ZINEH: We know what the vote is going
17 to be if we say "strongly encouraged."

18 [Laughter.]

19 DR. ZINEH: So, yes. Mandatory is pretty
20 close. So I guess we can go to "strongly encouraged"
21 to facilitate voting.

22 DR. VENITZ: So the question is modified.

1 The word "mandatory" is replaced by "strongly
2 encouraged." And what we're voting by raising our
3 hands is sub-question (a), exploratory clinical
4 studies in the pre-approval.

5 [Unanimous by show of hands.]

6 DR. VENITZ: Okay. Now we're moving to --

7 DR. ZHANG: Hold on one second, everybody.

8 DR. VENITZ: Oh, okay. So they actually do
9 want to count.

10 DR. ZINEH: This was intended to be a voting
11 question.

12 DR. VENITZ: Okay? All right. Now we're
13 moving to the second, confirmatory clinical studies in
14 the preapproval phase of drug development. And maybe
15 I'll make it easier. Just the no votes, please raise
16 your hands.

17 [Unanimous by show of hands.]

18 DR. VENITZ: Okay. Moving to Question 3,
19 post-approval studies required by the FDA to assess a
20 safety issue or question. Just the no, raise your
21 hand.

22 [One no vote by show of hands.]

1 DR. VENITZ: Okay? Does that help you?

2 DR. ZINEH: Can we get some commentary on
3 the no vote for the last question?

4 DR. VENITZ: Dr. Harralson, it's all yours.

5 DR. HARRALSON: I guess I was thinking that
6 in the post-approval process, it's in an entirely
7 different setting. And this may be people who've
8 experienced the reaction.

9 I think the idea that you would then go to
10 them and ask them for a DNA sample, I don't think
11 that's going to work. I totally see the utility
12 earlier, but in terms of mandating it afterwards, I
13 think that can't really be done. Am I
14 misunderstanding the question?

15 DR. ZINEH: That's fine. Thank you.

16 DR. VENITZ: Okay. Any additional comments
17 about any of the topics that we discussed?

18 [No response.]

19 DR. VENITZ: If not, I'd ask Larry to get us
20 on the road.

21 DR. LESKO: A couple of brief remarks and we
22 can hit the road, as they say.

1 In the beginning of the day, and I'll kind
2 of address this to the audience, we thought it would
3 be of use to bring the Advisory Committee down here to
4 Atlanta to the annual meeting. And we thought it was
5 an informative educational offering as well. And I
6 hope the audience that sat through our day began to
7 see the conundrums of regulatory science.

8 Science is information. Regulatory science
9 is science we can use in making decisions. And
10 there's a big gap between the two. And you can see
11 the fun that we have at FDA when we're not approving
12 drugs.

13 Secondly, to the Advisory Committee members,
14 I want to thank you very much. And you can tell from
15 the complexity of these issues, we don't have all the
16 answers. We don't know -- you know, I mean, it would
17 be naive to say we can go this without the expertise
18 and input and wisdom that you've all provided us. And
19 certainly on all of these topics, you've given us
20 enough to think about to go back to the bench, think
21 about what was said today, and incorporate that into
22 our thinking. We can't take everybody's comments into

1 account and, you know, follow that advice. But I
2 think what we got today is certainly enough for us to
3 go back and revisit some of the things that we talked
4 about.

5 Thirdly, I just wanted to thank the guest
6 speakers that we had from industry, and also the FDA
7 speakers, for their presentations. Behind the scenes,
8 we do these little dry runs, believe it or not. It
9 may not always come across as that slick. But we do
10 have to be very careful what we present at these
11 meetings, and people do take a lot of time to put
12 their thoughts together in a coherent way so that you
13 can understand the nuances of it all.

14 Lastly, as I started in the beginning, I
15 want to thank the support staff for pulling off this
16 meeting here in Atlanta. And without them, we'd be
17 back in Silver Spring doing this.

18 So I hope everyone enjoyed the science, the
19 discussion. And, you know, we look forward to maybe
20 doing something like this again in the future.

21 [Applause.]

22 DR. VENITZ: Thank you, everyone, and the

1 meeting is officially adjourned.

2 [Whereupon, at 2:48 p.m., the meeting was
3 adjourned.]

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22