

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH (CDER)

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE
AND CLINICAL PHARMACOLOGY (ACPS-CP)

Wednesday, March 2, 2011

7:15 a.m. to 3:00 p.m.

Hyatt Regency Dallas at Reunion

300 Reunion Boulevard

Dallas, Texas 75207

1 **ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE AND**
2 **CLINICAL PHARMACOLOGY MEMBERS (Voting)**

3 **Jeffrey S. Barrett, Ph.D.**

4 Associate Professor of Pediatrics
5 Children's Hospital of Philadelphia
6 Philadelphia, Pennsylvania

7
8 **Jerry M. Collins, Ph.D.**

9 Associate Director for Developmental Therapeutics
10 National Cancer Institute
11 National Institutes of Health
12 Division of Cancer Treatment and Diagnosis
13 Rockville, Maryland

14
15 **Kathleen M. Giacomini, Ph.D.**

16 Chair, Department of Biopharmaceutical Sciences
17 University of California School of Pharmacy
18 San Francisco, California

19
20 **Merrill Gozner (Consumer Representative)**

21 Silver Spring, Maryland
22

1 **Arthur F. Harralson, Pharm.D.**

2 Associate Dean for Academic Affairs

3 Shenandoah University School of Pharmacy

4 Winchester, Virginia

5

6 **Juan J.L. Lertora, M.D., Ph.D.**

7 Director, Clinical Pharmacology Program

8 National Institutes of Health, Clinical Center

9 Bethesda, Maryland

10

11 **Donald E. Mager, Pharm.D., Ph.D.**

12 Assistant Professor of Pharmaceutical Sciences

13 University of Buffalo, State University of New York

14 Department of Pharmaceutical Sciences

15 School of Pharmacy and Pharmaceutical Sciences

16 Buffalo, New York

17

18

19

20

21

22

1 **Howard L. McLeod, Pharm.D.**

2 Director

3 Institute for Pharmacogenomics and

4 Individualized Therapy

5 University of North Carolina, Chapel Hill

6 Chapel Hill, North Carolina

7

8 **Mary V. Relling, Pharm.D.**

9 Chair, Pharmaceutical Department

10 St. Jude Children's Research Hospital

11 Memphis, Tennessee

12

13 **Jürgen Venitz, M.D., Ph.D. (Acting Chair)**

14 Associate Professor

15 Department of Pharmaceutics

16 Medical College of Virginia School of Pharmacy

17 Virginia Commonwealth University Campus

18 Richmond, Virginia

19

20

21

22

1 **ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE AND**
2 **CLINICAL PHARMACOLOGY MEMBERS (Non-Voting)**

3 **Mukul A. Agrawal, Ph.D.**

4 **(Industry Representative)**

5 Associate Director of Medical Affairs

6 Roxane Laboratories, Incorporated

7 Columbus, Ohio

8
9 **Philip R. Mayer, Ph.D.**

10 **(Industry Representative)**

11 Assistant Vice President, Clinical Pharmacology

12 Clinical Research and Development

13 Wyeth Research

14 Philadelphia, Pennsylvania

15
16 **TEMPORARY MEMBERS (Voting)**

17 **Michael D. Caldwell, M.D., Ph.D.**

18 Director, Wound Healing Program

19 Marshfield Clinic Research Foundation

20 Marshfield, Wisconsin

21

22

1 **James C. Cloyd, Pharm.D.**

2 Center for Orphan Drug Research
3 Department of Experimental and Clinical
4 Pharmacology
5 College of Pharmacy, University of Minnesota
6 Minneapolis, Minnesota

7

8 **Michael D. Reed, Pharm.D., FCCP, FCP**

9 Director, Division of Clinical Pharmacology and
10 Toxicology
11 Director, Rebecca D. Considine Research Institute
12 Children's Hospital Medical Center of Akron
13 Akron, Ohio

14

15 **Kenneth E. Thummel, Ph.D.**

16 Professor and Chair
17 University of Washington School of Pharmacy
18 Department of Pharmaceutics
19 Seattle, Washington

20

21

22

1 **GUEST SPEAKER (Non-Voting)**

2 ***A Paradox in Orphan Drug Development***

3 **Trevor Mundel, M.D., Ph.D.**

4 Global Head of Development

5 Novartis Pharma AG

6 Basel, Switzerland

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Lawrence J. Lesko, Ph.D.**

10 Director, Office of Clinical Pharmacology (OCP)

11 Office of Translational Science (OTS), CDER, FDA

12

13 **Shiew Mei Huang, Ph.D.**

14 Deputy Director

15 OCP, OTS, CDER, FDA

16

17 **Tim Cote, M.D., M.P.H.**

18 Director, Office of Orphan Products

19 Development, Office of Special Medical Programs

20 Office of Commissioner, FDA

21

22

1 **Dennis Bashaw, Pharm.D.**

2 Director, Division of Clinical Pharmacology III

3 OCP, OTS, CDER, FDA

4
5 **Anne Pariser, M.D.**

6 Associate Director for Rare Diseases

7 Office of New Drugs(OND)

8 CDER, FDA

9
10 **Jogarao Gobburu, Ph.D.**

11 Director, Division of Pharmacometrics

12 OCP, OTS, CDER, FDA

13
14 **Christine Garnett, Pharm.D.**

15 Associate Director Operations Pharmacometrics

16 OCP, OTS, CDER, FDA

17
18 **Gilbert J. Burckart, Pharm.D.**

19 Associate Director of Regulatory Policy

20 OCP, OTS, CDER, FDA

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Jürgen Venitz, M.D., Ph.D.	11
5	Conflict of Interest Statement	
6	Yvette Waples, Pharm.D.	14
7	Introduction and Background	
8	Lawrence Lesko, Ph.D.	19
9	FDA Perspective on Rare Disease Drug	
10	Development and Regulation	
11	Tim Cote, M.D., M.P.H.	46
12	A Paradox in Orphan Drug Development	
13	Trevor Mundel, M.D., Ph.D.	79
14	A Clinical Pharmacology Decision Tree for	
15	Orphan Drugs	
16	Dennis Bradshaw, Pharm.D.	107
17	Clinical Pharmacology Tools for Developing	
18	Drugs for Rare Diseases	
19	Christine Garnett, Pharm.D.	138
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Future Perspectives on Academic-Industry-	
4	Government Collaboration on Orphan	
5	Drug Development	
6	James Cloyd, Pharm.D.	159
7	Open Public Hearing	182
8	Committee Questions and Discussion	224
9	FDA Next Steps	
10	Anne Pariser, M.D.	330
11	FDA Closing Remarks	
12	Lawrence Lesko, Ph.D.	348
13	Adjournment	352
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(7:17 a.m.)

Call to Order

DR. VENITZ: Good morning, everyone.

Welcome to the Clinical Pharmacology Advisory Committee meeting. My name is Jürgen Venitz. I'm the acting chair of the committee. I'd like to start by introducing all the members as well as the FDA staff that's in attendance. And maybe we can start from my right by introducing yourself, including your affiliation, please.

DR. MAYER: Phil Mayer from Pfizer.

DR. GIACOMINI: Kathy Giacomini from University of California San Francisco.

DR. THUMMEL: Ken Thummel, University of Washington.

DR. LERTORA: Juan Lertora, NIH Clinical Center.

DR. HARRALSON: Art Harralson, Shenandoah and George Washington University.

DR. CLOYD: Jim Cloyd, University of Minnesota.

1 DR. MCLEOD: Howard McLeod, University of
2 North Carolina Chapel Hill.

3 DR. MAGER: Don Mager, University of
4 Buffalo.

5 DR. COLLINS: Jerry Collins, National Cancer
6 Institute at NIH.

7 MR. GOOZNER: Merrill Goozner. I am an
8 independent writer.

9 DR. RELING: Mary Relling, St. Jude
10 Children's Research Hospital in Memphis.

11 DR. CALDWELL: Michael Caldwell from the
12 Marshfield Clinic.

13 DR. REED: Michael Reed from Akron
14 Children's Hospital and Northeastern Ohio
15 University's College of Medicine.

16 DR. BARRETT: Jeff Barrett, the Children's
17 Hospital of Philadelphia and University of
18 Pennsylvania.

19 DR. PARISER: Anne Pariser, FDA.

20 DR. GARNETT: Christine Garnett, FDA.

21 DR. BASHAW: Dennis Bashaw, FDA.

22 DR. HUANG: Shiew Mei Huang, FDA.

1 DR. LESKO: Larry Lesko, Office of Clinical
2 Pharmacology at FDA.

3 DR. VENITZ: Thank you. Let me begin the
4 meeting by reading the introductory remarks.

5 For topics such as those being discussed at
6 today's meeting, there are often a variety of
7 opinions, some of which are quite strongly held.
8 Our goal is that today's meeting will be a fair and
9 open forum for discussion of these issues, and that
10 individuals can express their views without
11 interruption. Thus, as a gentle reminder,
12 individuals will be allowed to speak into the
13 record only if recognized by the chair. We look
14 forward to a productive meeting.

15 In the spirit of the Federal Advisory
16 Committee Act and the Government in the Sunshine
17 Act, we ask that the advisory committee members
18 take care that their conversations about the topic
19 at hand take place in the open forum of the
20 meeting.

21 We are aware that members of the media are
22 anxious to speak with the FDA about these

1 proceedings. However, FDA will refrain from
2 discussing the details of this meeting with the
3 media until its conclusion. Also, the committee is
4 reminded to please refrain from discussing the
5 meeting topic during breaks or lunch. Thank you.

6 Now Dr. Waples will read the conflict of
7 interest.

8 **Conflict of Interest Statement**

9 DR. WAPLES: The Food and Drug
10 Administration, FDA, is convening today's meeting
11 of the Advisory Committee for Pharmaceutical
12 Science and Clinical Pharmacology under the
13 authority of the Federal Advisory Committee Act of
14 1972.

15 With the exception of the industry
16 representative and guest speaker, all members and
17 temporary voting members are special government
18 employees, SGEs, or regular federal employees from
19 other agencies, and are subject to federal conflict
20 of interest laws and regulations.

21 The following information on the status of
22 this committee's compliance with federal ethics and

1 conflict of interest laws, covered by, but not
2 limited to, those found at 18 USC Section 208 and
3 Section 712 of the Federal Food, Drug and Cosmetic
4 Act, is being provided to participants in today's
5 meeting and to the public.

6 FDA has determined that members and
7 temporary voting members of this committee are in
8 compliance with federal ethics and conflict of
9 interest laws. Under 18 USC Section 208, Congress
10 has authorized FDA to grant waivers to special
11 government employees and regular federal employees
12 who have potential financial conflicts when it is
13 determined that the agency's need for a particular
14 individual's services outweighs his or her
15 potential financial conflict of interest.

16 Under Section 712 of the FD&C Act, Congress
17 has authorized FDA to grant waivers to special
18 government employees and regular government
19 employees with potential financial conflicts when
20 necessary to afford the committee essential
21 expertise.

22 Related to the discussions of today's

1 meeting, the members and temporary voting members
2 of this committee have been screened for potential
3 financial conflicts of interest of their own, as
4 well as those imputed to them, including those of
5 their spouses or minor children, and, for purposes
6 of 18 USC Section 208, their employers. These
7 interests may include investments, consulting,
8 expert witness testimony, contracts, grants,
9 CRADAs, teaching, speaking, writing, patents and
10 royalties, and primary employment.

11 Today's agenda involves discussion of
12 innovative approaches to development of drugs for
13 orphan and rare diseases to support decisions such
14 as dose and trial design selection. FDA will seek
15 input and comment on how to optimally utilize
16 mechanistic biomarkers and apply clinical
17 pharmacology tools such as pharmacogenetics and
18 modeling and simulation to facilitate efficient and
19 informative drug development and regulatory review.

20 FDA will present and seek input from the
21 committee on how lessons learned from other
22 applications of clinical pharmacology tools in

1 pediatrics and oncology can be applied to orphan
2 and rare disease drugs.

3 The committee will be asked to comment on
4 the current status and future direction for
5 clinical pharmacology studies -- for example, dose
6 response, drug-drug interaction, pharmacokinetics
7 in patients with renal and hepatic impairment -- as
8 they pertain to drug development for orphan and
9 rare diseases.

10 This is a particular matters meeting, during
11 which general issues will be discussed. Based on
12 the agenda for today's meeting and all financial
13 interests reported by the committee members and
14 temporary voting members, no conflict of interest
15 waivers have been issued in connection with the
16 meeting.

17 To ensure transparency, we encourage all
18 standing committee members and temporary voting
19 members to disclose any public statements that they
20 have made concerning the issues before the
21 committee.

22 With respect to FDA'S invited industry

1 representatives, we would like to disclose that
2 Dr. Philip Mayer is serving as a nonvoting industry
3 representative acting on behalf of regulated
4 industry. His role at this meeting is to represent
5 industry in general and not any particular company.
6 Dr. Philip Mayer is currently an employee of
7 Pfizer.

8 With regards to FDA's guest speaker, the
9 agency has determined that the information to be
10 provided by the speaker is essential. The
11 following interest is being made public to allow
12 the audience to objectively evaluate any
13 presentation and/or comments made by the speaker.
14 Dr. Trevor Mundel is employed by Novartis. As a
15 guest speaker, Dr. Mundel will not participate in
16 committee deliberations, nor will he vote.

17 We would like to remind members and
18 temporary voting members that if discussions
19 involve any other products or firms not already on
20 the agenda for which an FDA participant has a
21 personal or imputed financial interest, the
22 participants need to exclude themselves from such

1 involvement, and their exclusion will be noted for
2 the record. FDA encourages all other participants
3 to advise the committee of any financial
4 relationships that they may have with any firms at
5 issue. Thank you.

6 DR. VENITZ: Thank you, Yvette.

7 Our first speaker is Dr. Lesko. He will
8 give us some background and introduce the topic of
9 today's discussion. Dr. Lesko.

10 **Presentation - Lawrence Lesko**

11 DR. LESKO: Good. Thanks, Dr. Venitz, and
12 good morning, everybody. I'd like to welcome the
13 committee to Dallas; thank the committee for coming
14 to Dallas. This is our second offsite Clinical
15 Pharmacology Advisory Committee. We started the
16 first one last year in Atlanta in conjunction with
17 the ASCPT. Everybody felt it was a good success.
18 We had a lot of input both from the committee and
19 from people that were in the audience during the
20 course of the day. So we thought we'd try it
21 another time. Besides, I think our advisory
22 committee staff likes to get out of Washington once

1 in a while.

2 Well, some of you have been on the Clinical
3 Pharmacology Advisory Committee for its entire
4 life, I would say. This is our ninth or our tenth
5 meeting. I couldn't quite remember them all. I
6 remember a lot of them because it seemed in almost
7 every one we discussed three topics -- drug
8 interactions, renal impairment guidance, and
9 clinical pharmacogenomics. So today we have
10 something entirely different. The first meeting,
11 by the way, was back in 2002, and today we're going
12 to move into a new topic.

13 Today we're going to be talking about
14 something new for this committee, rare diseases and
15 orphan drugs. So just to sort of set the stage for
16 today, I realize not everybody on the committee may
17 necessarily be involved with rare diseases and
18 orphan drugs. But I think the thing to remember is
19 that what we're going to try to talk about today is
20 really good, rational, incredible drug development,
21 bringing the tools of clinical pharmacology to bear
22 on a very special situation in drug development,

1 rare diseases and orphan drugs.

2 It's an ideal area I think for clinical
3 pharmacology because it's one of those areas where
4 we have to get the most out of the least amount of
5 data when we compare it to conventional types of
6 drug development. So the way we do that and the
7 way we have done it employs a lot of clinical
8 pharmacology tools. So think of this not only as
9 rare diseases and orphan drugs, but think of it as
10 a good, systematic way to develop drugs in general.

11 Before I get into the specifics of today,
12 I'd like to just kind of refresh and review the
13 past year. We met last year on March 17th in
14 Atlanta, and we talked a lot about clinical
15 pharmacogenomics. And 11 months to the day, the
16 guidance finally came out. I've shown it on the
17 top there, and it's now out for public comment for
18 something like 60 or 90 days.

19 I hope you get a chance to go look at it and
20 think about the discussions we had last year and in
21 2009 and 2008 over this guidance. And the little
22 blurb on the bottom from the pink sheet indicates

1 the connection between the advisory committee and
2 the draft guidance. And I think it speaks to the
3 importance of this committee because over the
4 years, the input that the committee has given us
5 has been instrumental in us developing a guidance.

6 Even today with rare diseases and orphan
7 drugs, we have the thought in mind that we might
8 want to write a guidance on rare diseases and
9 orphan drugs. We're mandated to do that, I
10 believe, by NFDA, more general guidance. But we've
11 been talking with Anne and Tim and others in FDA
12 about possibly writing a clinical pharmacology
13 guidance in this area that would lay out a
14 blueprint for good clinical pharmacology as it
15 applies to rare diseases and orphan drugs. So the
16 input today is going to be very valuable in that
17 context.

18 So this guidance is out as draft. Last year
19 we talked about two other guidances. I'll give you
20 an update. The first is the drug interaction
21 guidance. Last year we talked a lot about
22 transporter-mediated drug interactions. We've

1 created a new decision tree for the drug
2 interactions that involve inhibition and induction.
3 We've used a lot of our experience with PD/PK
4 physiological-based pharmacokinetic modeling to
5 look at multiple co-factors in DDI. We've added
6 some drug protein drug interactions, how PGx
7 pharmacogenomics data can inform drug interactions,
8 and then something about the non-CYP enzyme drug
9 interactions.

10 This guidance is basically complete. We
11 have it in the process for CDER clearance. We
12 don't know exactly when it'll be out, but we
13 anticipate that in 2011 of this year, June, it'll
14 be released for public comment.

15 Last year, we also talked about the renal
16 impairment guidance. We talked about studies for
17 non-renal routes, how to stage, which has been it
18 seems like a year-long discussion now about MDRD
19 versus creatinine clearance. We put both into the
20 draft guidance; hemodialysis studies, renal studies
21 of large molecules, proteins, labeling
22 recommendations, and then we addressed many of the

1 2010 comments we had on the draft guidance and the
2 comments that we had from this committee during the
3 past year.

4 It's moving ahead, not as quickly as we'd
5 like it to be. But, again, this year we anticipate
6 we'll send it for clearance in June of 2011 and
7 hopefully have it out and complete as a draft
8 guidance for comment, or maybe a final guidance
9 this time around, in 2011.

10 So that's the update of past discussions.
11 But let me get into today's discussion and talk
12 about what we're here to focus on.

13 So rare diseases is one affecting fewer than
14 200,000 people in the United States. Yesterday we
15 had a symposium on personalized medicine, and it
16 became clear from the discussion that most of the
17 diseases of cancer that were discussed yesterday
18 were in fact rare diseases. So rare diseases
19 really are nothing new in that context, but there
20 are 6- to 8,000 of these diseases that affect 7
21 percent of the population. Four out of five have a
22 genetic basis, and 70 to 75 percent have a

1 prevalence of less than 100,000 patients.

2 An orphan drug is one that has been
3 developed to treat a rare disease. More than 2200
4 molecules have been designated as orphan drugs.
5 Thirty to 40 percent are for what people call rare
6 cancers, and since 1993, FDA has approved roughly
7 around 362, although that number keeps changing
8 with time.

9 So I said in the beginning this is sort of
10 an ideal area for the application of clinical
11 pharmacology. And the reason I said that is
12 because orphan drugs and rare diseases are
13 characterized by these attributes. We have a large
14 heterogeneity in disease pathophysiology. We have
15 poorly understood natural histories and
16 progression. We have relatively few patients to
17 make the best of, in terms of clinical trials. We
18 have uncertain appropriate durations of therapy for
19 a durable response on these diseases. In some
20 cases we lack appropriate endpoints that predict
21 outcomes, or even clinical outcomes themselves, in
22 which case biomarkers need to be relied upon more;

1 large heterogeneity in treatment effects, which
2 means sorting out the causes of variability with
3 biomarkers or generic factors.

4 At the end of the day, this particular area
5 requires compromise, innovation, and tradeoffs. We
6 can do business this way. We always do business
7 with conventional drug development. So it's those
8 compromises, those innovations, those tradeoffs,
9 that are going to be really critical I think to
10 what we discuss today; how can clinical
11 pharmacology help in those tradeoffs?

12 Finally, we have difficult decisions to make
13 in a regulatory agency in the absence of ideal
14 information. So think about today in the context
15 of extracting the most amount of knowledge from the
16 least amount of information, and how can we do that
17 in a systematic way to improve the orphan disease,
18 rare drug development.

19 Now, February 28th, just around the corner,
20 was Rare Disease Day. Rare Disease Day, this was
21 the fourth one. It's an international event. And
22 the whole purpose of Rare Disease Day is really to

1 raise public awareness of rare diseases and to try
2 to engage policy-makers, scientists, clinicians to
3 try to apply their knowledge to affect this area.

4 FDA, we've said it before; several people
5 have said it, the development of effective
6 medicines for rare diseases is a primary FDA
7 objective. It's in our strategic plan for 2011.

8 Now, there's been a lot of activity in the
9 area of rare diseases. I call these trends. If
10 you watch what's going on in the landscape, we've
11 seen licensing deals. I've given an example there.
12 We've seen mergers. We've seen label extension
13 strategies. Several government agencies have road
14 maps. And several companies have now established
15 dedicated research units to address the issues of
16 rare diseases.

17 Finally, we have the Institute of Medicine
18 calling for this national strategy to apply the
19 advances in science and technology and innovation,
20 innovations across the board in terms of trial
21 design and so on and so forth. So there's an
22 urgency here, as reflected by all of these goings-

1 on. And I think it's very timely then that we talk
2 about this topic at our advisory committee.

3 Now, I've tried to categorize here rare
4 diseases and orphan drugs to put them into little
5 buckets so we can think about them better during
6 the day. The only thing I'll ask you to sort of
7 take with a grain of salt is the frequency of the
8 rare diseases I've used as my example. I found
9 when doing this slide it's actually very difficult
10 to get the incidence of rare disease and define a
11 rare disease specifically, so these numbers that I
12 have up there for each of these examples are
13 probably not entirely accurate.

14 But what's important in this slide is the
15 categories. The first category is the NME, the new
16 molecular entity, for, as yet, an untreated patient
17 population with a rare disease. An example of this
18 would be an enzyme replacement therapy for Pompe
19 disease. It has an incidence of 1 in 40,000, as
20 best I can tell. The disease is a genetic disease.
21 There's a problem with lysosomal enzymes. GAA
22 represents a substitute for the endogenous enzyme.

1 The symptoms I've listed there only to
2 emphasize that these are serious disorders and
3 people in real need of medical treatments, but
4 muscle weakness, enlarged hearts, difficulty
5 walking. That's one category.

6 The second is drugs for common disease that
7 are also utilized in rare disease. The common term
8 for this is repurposing, and an example would be
9 sildenafil, a drug for erectile dysfunction, that
10 has been recently approved for pulmonary
11 hypertension in pediatric patients. It's a
12 selective inhibitor of phosphodiesterase type 5.
13 In its application, it comes along with a lot of
14 different cardiac biomarkers that allow us to get
15 to a proof of efficacy quickly that can correlate
16 with clinical outcomes. And you'll see an example
17 of that in another presentation. But, again, the
18 symptoms are severe. The patients are in need.

19 Finally, there's the drug for rare disease
20 that also has a line extension to a drug for common
21 disease, Ilaris, canakinumab -- I can't say that
22 very well -- Ilaris for Muckle-Wells. Trevor will

1 talk about that. As an example of that, it was
2 approved for CAPS, and under CAPS is Muckle-Wells
3 syndrome as one of the disorders.

4 Again, interleukin-1 beta is over-expressed
5 in this disease. This is a drug that is an anti-
6 interleukin-1 beta. And again, the symptoms and
7 the severity are as I've shown them on the slide.

8 So orphan drugs, rare diseases, will fall
9 into these categories, and think about when you see
10 the examples during the course of the day.

11 This slide I've adapted from an article that
12 appeared recently in the literature. And I thought
13 it was sort of a visual mapping or a visual image
14 about how orphan drugs come about. And on the
15 Y axis, you can see the driver of drug development.
16 Arbitrarily, it goes from a disease based on a
17 molecular pathway to a drug based on
18 pharmacological mechanism of action, sometimes
19 empirical.

20 On the X axis is a range of populations,
21 individuals to populations. On the left side,
22 populations less than 200K, typical rare

1 disease/orphan disease, and gets into the realm of
2 personalized medicine. On the right-hand side are
3 populations with what we call evidence-based
4 medicine, medicine applied to the general public
5 over 200K.

6 So here are the orphan drugs. They're in
7 that category, very much disease-driven, very much
8 molecular-based, very much focused on individuals
9 and personalized medicine, given the small subset
10 of the general population. In contrast, we have in
11 the upper right-hand corner the drug-oriented drug
12 driver for development intended to apply to large
13 populations. And then in the left-hand column is
14 the emerging world of targeted therapies that we've
15 seen predominately in oncology.

16 So here the visual mapping of blockbusters
17 being repurposed for orphan drugs. That's a
18 sildenafil example that I mentioned. Another way
19 this goes is to expand the indication. As we'll
20 see, some of the drugs used in orphan diseases,
21 we'll see examples today about how knowing their
22 mechanism of action, how knowing the

1 pathophysiology of a disease can help transfer that
2 information to a much larger population and
3 represents a nice, viable business model. And then
4 we'll see that many orphan drugs, by nature of
5 their cause, being genetic, represent in fact the
6 ideal personalized medicine in terms of targeted
7 therapy. So this is kind of the mind map of what
8 we're talking about today.

9 Now, this is a general model for development
10 of orphan drugs. And I have really three steps.
11 They're fairly simple, but it gives you a sense of
12 what we're trying to capture here in the advisory
13 committee.

14 The first step is trying to establish a
15 linkage between the biological mechanism of action
16 of the drug and the molecular-based sub-disease --
17 marrying those two together, identifying a target,
18 getting to cures, and not just symptom control.
19 Unfortunately, many orphan diseases don't have
20 cures. They only have symptom control. But I
21 think understanding pathophysiology of disease and
22 mechanism of action can get there.

1 This is just one example, inhibition of IL-1
2 inflammatory mediator. It's in the synovial fluid
3 of joints in RA, not necessarily in CAPS. That's a
4 little bit of a misnomer there. But it was first
5 approved for CAPS, and it can be extended to RA
6 because the mechanism and the receptors are
7 similar, and I think the key part is the causal
8 pathway.

9 The second step is really the world of
10 clinical pharmacology, looking at changes in
11 biomarkers that enable a rapid proof of efficacy
12 concept. Sometimes this step in the process can
13 represent supportive evidence of efficacy.
14 Sometimes it can represent the blueprint for the
15 subsequent clinical trial and increase its
16 probability of success.

17 Step three is basically to think about
18 innovative trial designs. Yesterday we heard, in
19 the oncology discussion of personalized medicine,
20 many examples of innovative trial designs, whether
21 they're adaptive, they're enriched, things of that
22 sort, to validate biomarker outcomes and to apply

1 quantitative methods, what we typically call
2 pharmacometrics, to the analysis of dose response.

3 Just to represent the step on the left, we
4 have PK/PD models and simulation being used in the
5 case of Ilaris, looking at the interleukin-1 beta
6 as a biomarker and looking at healthy volunteers to
7 get a design of a trial from that information and
8 optimal dosing. And on the right is another example
9 of improvement in five clinical response criteria
10 as observed with a function of dose.

11 One of the questions for today's discussion
12 is, what type of clinical pharmacology package
13 would one think would be important, particularly
14 for new molecular entities that are being used for
15 rare diseases?

16 So there's going to be a lot of thought
17 going into this threshold of information and
18 whether or not it does include good dose response,
19 drug interactions, special populations, all that
20 kind of stuff. But what does that boil down to in
21 terms of an essential package, and does that
22 include, for example, dose-response studies, and

1 how would they be done officially? So this is the
2 kind of conundrums that we have to talk about
3 today, and are looking for advice.

4 Now, development strategies for orphan
5 diseases are really an evolving paradigm, and
6 looking over the past approvals of rare diseases,
7 basically I could say there's no consensus on what
8 constitutes an ideal drug development program.
9 There's no right way. FDA has seen as many
10 different approaches to drug development as they
11 have approved drugs in this area.

12 What we think, and it'll permeate our
13 discussion today, is that the lessons we've learned
14 from the application of clinical pharmacology and
15 pharmacometrics and genetics to the areas of
16 pediatric and oncology drug development can be also
17 applied to rare diseases. We haven't done that, at
18 least haven't done it to the extent we can to date,
19 but taking those lessons learned and applying them
20 to this area may expedite drug development.

21 Late-stage clinical development programs,
22 whatever late-stage happens to be in the area of

1 orphan diseases, can be guided by early-phase
2 clinical pharmacology studies. It's a truism. It
3 hasn't been done in this area. It should be done
4 in this area. And the question is how do we do
5 that efficiently and what kind of data do we need?

6 Then, finally, in this area, because we have
7 oftentimes to make do with so little, I think
8 biomarkers, good dose response, PK/PD study data,
9 are going to play an essential role and could be in
10 some cases persuasive as confirmatory evidence of
11 efficacy when we can't do a full-blown randomized
12 controlled trial program.

13 This is just to give you a synopsis of the
14 orphan drug approvals and some recent successes.
15 This covers the time period, as you can see, four
16 years. You'll see something that is maybe a
17 disconnect in one way because we have thousands of
18 orphan drug designations, but we don't have
19 thousands of orphan drugs approved. And that might
20 be worth discussing today, and perhaps some of the
21 other presenters will address that.

22 But over this time period, there were

1 34 approvals, 22 NDAs, 12 BLAs. These are, I
2 believe, new molecular entities. Dennis can
3 correct me if I'm wrong on this. But you can see
4 where the action is in the therapeutic areas, much
5 of it not a surprise, as in oncology.

6 But in the other areas, it's kind of
7 interesting where the activity is in terms of GI
8 and inborn areas of metabolism, rheumatology,
9 neurology. And then a whole bunch, probably a
10 dozen therapeutic areas, go into the little piece
11 of that pie that is marked "Other." So there's a
12 lot of one-off therapeutic areas and drugs used in
13 those areas.

14 A further breakdown of this data indicates
15 there were 34 approvals representing 27 different
16 indications. So this is not a crowded marketplace
17 by any means. Six indications had two approvals;
18 26 different companies were involved with the 34
19 approvals. Again, there's no lock on this area, no
20 birthright to the approval process. No sponsor had
21 more than three approvals.

22 You can see the trend lines on the bottom,

1 thinking about those buckets that I showed you in
2 the beginning for rare diseases/orphan drugs;
3 38 percent over this time period were original
4 approvals and 62 percent were those repurposed
5 approvals that I showed.

6 This is a summary of orphan drug approvals
7 in CDER in 2010. It gives you a sense of the
8 indications that have been identified in terms of
9 drug approval. You can see the time. One way to
10 think about this in context is what percent of
11 overall drug approvals over a time period have been
12 for rare diseases. I don't know the number exactly
13 off the top of my head, but I want to say probably
14 one-fourth to one-third of drug approvals have been
15 for rare diseases over the past decade. Anne or
16 Tim can correct me if I'm wrong on that.

17 So the key features of the programs that
18 were approved in 2010 when you analyzed our
19 attributes, you can see, if you remember that
20 slide, it was a diverse collection of diseases.
21 There's no particular focus on this disease or that
22 disease, and a diverse collection of patient

1 populations.

2 As I said, these are not large programs.
3 The program size for those drugs approved in 2010
4 went from 23 patients to 540 patients. That's much
5 smaller than a typically phase 2 trial or a phase 1
6 trial for a conventional drug development program.

7 The study designs and development processes
8 were very broad and very diverse. Many of the
9 approvals relied on novel and well-established
10 clinical endpoints. We generally say that
11 endpoints, whether they're outcomes or biomarkers
12 or surrogates, need to be reliable, meaningful,
13 well-defined, and fit for the purpose. And a wide
14 range of study designs in almost every case reliant
15 on a totality of evidence to make a regulatory
16 decision for the approval.

17 So understanding the factors for success in
18 orphan drug development is important, and this is
19 almost like a checklist of what clinical
20 pharmacology focuses on in drug development. And
21 that's why I think this is a nice marriage between
22 our science and also the needs.

1 Understanding disease pathology and
2 identifies disease targets. The ease of
3 demonstrating proof of concept -- there's a high
4 probability the drug is going to work. Showing the
5 linkage between the drug and target in terms of
6 dose response, in terms of PK/PD. Delineating drug
7 mechanisms of action and having clear and
8 identifiable symptoms, knowing who to enter into
9 the clinical trial to assure that efficacy can be
10 demonstrated with small patient populations.

11 The key in all of these areas is mechanistic
12 biomarkers. I think that's the foundation for good
13 drug development in the rare disease area. And,
14 indeed, many of the examples today, you'll see how
15 mechanistic biomarkers facilitate a regulatory
16 decision-making.

17 So what has brought us to this point? Why
18 are we talking about it with the committee? Well,
19 these are some of the reasons. Large randomized
20 controlled trials and full clinical pharmacology
21 program packages are just not feasible in
22 developing orphan drugs. The patient populations

1 aren't there. The unmet need is crucial. We have
2 to move quickly. Mechanistic approaches to drug
3 development lend themselves to quantitative
4 analyses, which is a hallmark of clinical
5 pharmacology science.

6 Third, we've seen advances in
7 pharmacometrics, that clinical trial simulation use
8 of modeling can make important contributions to
9 pediatric drug approvals. So I'm transferring
10 experience from that world into the rare disease
11 world.

12 Scientifically sound tradeoffs between full
13 and light clinical pharmacology data sets have
14 enabled oncology drug development. Again, we'll be
15 reflecting on what's done in oncology, which shares
16 many of the attributes with orphan drugs/rare
17 diseases.

18 Finally, well designed clin pharm studies,
19 innovative data analysis, can provide and has
20 provided in pediatrics and oncology substantial
21 evidence of benefit, and I believe it can do so in
22 the area we're talking about today.

1 Now, one of the important challenges we may
2 want to get into a little bit today is identifying
3 safety signals with small populations. This isn't
4 characteristic only of orphan drugs/rare diseases,
5 but many clinical studies in drug development in
6 general are underpowered to detect serious adverse
7 events, but yet it's an important issue when we
8 have unmet medical needs like we have today. So a
9 regulatory agency needs a full assurance, or at
10 least as best it can be assured, that benefits
11 outweigh the risks.

12 We discussed the last bullet last year when
13 Dr. Abernethy presented an approach to identifying
14 safety issues by studying the off-target
15 pharmacological mechanism of action of drugs. That
16 program has progressed nicely in the past year. We
17 had a workshop in January of two days with
18 colleagues from industry and academia to talk about
19 how to move the program forward. We won't talk
20 about it very much today, but I want to put it on
21 your radar scope for a possible discussion next
22 year when this program becomes more mature.

1 There is a symposium at the ASCPT meeting --
2 I think it's Thursday -- that will more or less be
3 an update on this program. But I see this as one
4 of the solutions to addressing the safety issue
5 with small populations.

6 So the goals for today are really to focus
7 on the role of FDA, to focus on the role of
8 clinical pharmacology to move this field forward.
9 On the top is the regulation, and think about this.
10 FDA is required to exercise scientific judgment to
11 determine the kind and quantity of data and
12 information that an applicant is required to
13 provide for a particular drug to meet the statutory
14 standards. That's 314.105.

15 In this area, however, regulations provide
16 room for flexibility in the review of these
17 treatments for rare diseases and the applications
18 of standards and needs to use good scientific
19 judgment. So I think today is all about
20 flexibility in meeting the statute, and the role
21 that modern clinical pharmacology can play in the
22 application of its processes, its tools, to provide

1 the kind and quantity of data information that
2 applicants need to meet the regulatory standard
3 that I've indicated above.

4 The concluding thought I've borrowed from a
5 website and a quote of Greg Simon, who runs an
6 organization called Faster Cures, which is an
7 advocacy organization for rare diseases. And this
8 chart on the left came from a workshop that they
9 held in Lake Tahoe not too long ago. And I kind of
10 like the brain mapping that went on there.

11 It says, "Isn't somebody already doing this?
12 No. Everyone is focused on the part, not on the
13 whole system. It's not the train, it's the track.
14 So we need action-oriented, not disease-specific.
15 It's not the money. It's how we spend the money."
16 And I think that's kind of a thought process for me
17 in the area of orphan drugs. It's how we use our
18 money to build a drug development program.

19 Then he closed the meeting with this
20 thought. "Why does it take so long to find cures?"
21 And he said, "Consider this. The potential speed
22 of a high-speed train is 200 miles per hour, but

1 the average speed of today's train is 55 miles per
2 hour. It's not the speed of the train that holds
3 us back, it's the speed of the track. We need
4 better and faster tracks for faster cures."

5 The metaphor here is that the train is the
6 drug. The track is the process of drug
7 development. And that's what we're going to focus
8 on today. Thanks.

9 [Applause.]

10 DR. VENITZ: Thank you. Thank you,
11 Dr. Lesko.

12 Are there any clarifying questions? And I
13 would ask the committee to hold off the discussion
14 till after the open public hearing. So right now
15 we are going through the presentations one at a
16 time, and I'm asking for any clarifying questions
17 for Dr. Lesko's presentation.

18 [No response.]

19 DR. VENITZ: Since there are none, then let
20 me go to the next speaker. Dr. Cote is going to
21 talk about the FDA's perspective on rare disease
22 drug development.

1 **Presentation - Tim Cote**

2 DR. COTE: Good morning, everybody. A
3 beautiful day like this makes even an East Coast
4 boy want to say howdy here in Texas. It's great to
5 be here, and thank you all for coming. This is a
6 very, very important day. Getting the advisory
7 committee's input on this important matter will
8 advance the field greatly.

9 You've already heard from Larry. He and I
10 talked a great deal about today in advance of it,
11 and his hopes and my hopes for today. The
12 Institute of Medicine report you've all heard
13 about. Many of you will also know that the FDA
14 appropriations bill of 2010 required us, Section
15 740, to put out a report to Congress which is due
16 imminently, within a matter of days or weeks, and
17 we'll be moving forward on this important topic.
18 But getting your scientific input on this is
19 critical. And the time -- let's just say that the
20 topic is ripe.

21 What I'm going to do today is give you a
22 little bit of a historical perspective, set this

1 all in a context and talk about the Orphan Drug
2 Act, what's happened over the past 27, 28 years
3 since it's come about; the mega-trends, what has
4 happened in America to this, what did it come out
5 of?

6 This is a uniquely American experience.
7 It's a result of our democratic system. And I want
8 to show how the orphan drug movement and the orphan
9 drug community -- and indeed, it is a movement and
10 a community as much as a scientific problem -- has
11 grown out of this, and what it means, and where
12 we're going, hopefully.

13 So, yes, my name is Tim Cote. I'm the
14 director of the Office of Orphan Products. I've
15 been there for about three and a half years. We're
16 going to talk about the Orphan Drug Act.

17 Basically, for many of you, I assume there's a
18 variety of understanding of it. So this is Orphan
19 101 for you, the promise and what it's delivered.

20 I want to go into a little bit of detail
21 about this orphan drug designation bit, how does a
22 product get orphan status designation, and some of

1 the little technical aspects of that because it has
2 some implications for the future and what we can
3 expect going forward in making new drugs.

4 Of particular interest is this question of
5 medically relevant subsets, and when are they
6 medically relevant and when are they what we call
7 salami slicing? That's important and relevant to
8 where we're going forward in the future with
9 regards to making new, more personalized, and less
10 impersonal medicines.

11 Finally, I want to wrap up with -- I'll give
12 you some examples of orphan drugs. But I want to
13 wrap up with a little bit about the relationship
14 between the Office of Orphan Products, which I am
15 the director of, which sits in the Office of the
16 Commissioner, and the review divisions; and where
17 do our various authorities lie, what do we work
18 together on, what's their turf, what's our turf, so
19 that everybody can understand how things work there
20 in the agency.

21 So let me transport you back to 1983, when I
22 was a new medical student, I guess. Things were

1 different then. The problem was that the basic,
2 fundamental marketplace of drug development worked
3 this way. A drug company puts a lot of money into
4 developing it, and then gets an approval, and then
5 goes out and sells it and recoups its money. Well,
6 that doesn't work very well if you have very few
7 people to buy your pills. And by definition, in
8 rare diseases, we don't.

9 So the data are consistent with that, from
10 1973 to 1982, there were only about 10 new drugs
11 for people with rare diseases that were approved by
12 the FDA, so it was pretty paltry. And as you've
13 already heard, we've got 7,000 rare diseases, and
14 they affect 25, 30 million people. The numbers
15 vary, but it's a lot of folk all put together.

16 Of just as much importance, congressmen and
17 senators tell me, the ones that I've met, that
18 every single week somebody is pounding on their
19 door with poor little Sally with a really tragic
20 disease and asking them, can you please do more?
21 And it's tearing at their hearts and they want to
22 do something about this.

1 So there was a lady, and her name was Abbey,
2 and she was an art major, not a scientist, but a
3 housewife from Danbury, Connecticut who had a
4 couple of kids with Tourette's. And they were on a
5 clinical trial, and the drug company pulled the IND
6 because they figured they couldn't make any money
7 at it.

8 Well, hell hath no fury like a mother who is
9 in defense of her child. And Abbey realized that
10 even though these individual diseases were
11 individually infrequent, by definition, they were
12 collectively very common. And she had a friend, an
13 obscure senator at the time by the name of Henry
14 Waxman from California, who came up with the Orphan
15 Drug Act with her and several others. And Abbey
16 founded NORD, the National Organization for Rare
17 Disorders, which established my office and which
18 really propelled the whole community forward. So
19 patient groups are really the engine that's driving
20 this whole thing forward.

21 So the new deal of the Orphan Drug Act was
22 this. If you get an antecedent orphan drug

1 designation -- and to do that, you've got to show
2 me two things, show with data that it's
3 "promising," which is very, very far afield from
4 the kinds of things that you all are considering
5 here with efficacy, but promising; and that it's
6 for treating a rare disease or condition, fewer
7 than 200,000 people in the United States. So if
8 you can do those two things, you get an antecedent
9 orphan drug designation.

10 Then you go out and you do all the same
11 things that you have to do for any other drug for a
12 common disease. You do clinical trials and get
13 marketing approval, and you received three main
14 official incentives. The first is market
15 exclusivity for 7 years here -- it's 10 years in
16 Europe -- and that is really probably the single
17 biggest carrot that has driven the system forward,
18 by far more important than anything else.

19 Now, you might ask, why is market
20 exclusivity important? It sounds a lot like a
21 patent, doesn't it? But patents are realized very
22 early in drug development, when the guy in the

1 laboratory holds up the test tube and says,
2 "Eureka." By the time they get to marketing
3 approval, they probably run out.

4 Additionally, patents have to be defended by
5 these very expensive people called patent lawyers.
6 And sometimes they win and sometimes they lose,
7 whereas orphan exclusivity is enacted and defended
8 strictly by the FDA, and we're the only group that
9 can produce market authorization. You can't go
10 anywhere else to get it. There are tax credits
11 which can be carried forward and carried backwards,
12 50 percent tax credits, and fee exemptions. The
13 PDUFA fee is waived for applicants for orphan
14 drugs.

15 Well, it's been a major success, and
16 everywhere I go, everybody tells me that there is
17 no law that has worked to develop drugs so well as
18 the Orphan Drug Act. We do have 362 approved drugs
19 at this point, more than 2200 designated orphan
20 products that have some promise on the basis of
21 data, and, as was mentioned, about -- it varies
22 from year to year, but in 2008, anyways, almost 40

1 percent of all FDA-APPROVED NMEs or new biologics
2 were, in fact, orphan products. So things are
3 changing. Things are changing big time.

4 Here is the numeric revelation of that. And
5 Larry already mentioned that orphan designations
6 have increased dramatically. Over the last three
7 years that I've been there, they've increased
8 61 percent, in fact. But you can see that there is
9 a rather constant level of approvals that have come
10 through over that time.

11 Now, there is latency. Okay? It takes some
12 time from the time of orphan drug designation,
13 which only requires demonstration of promise, to
14 full-blown marketing approval.

15 This chart shows you that the Orphan Drug
16 Act has, in fact, worked to develop drugs for the
17 rarest diseases. The Y axis is the numbers of
18 designations in the black bars and the numbers of
19 approvals, marketing approvals, in the white bars.
20 The X axis shows you the population of the disease
21 that is affected by the -- that is intended to be
22 treated by the designated or approved product.

1 Okay?

2 So you can see that it's truncated over
3 there at 200,000, appropriately. And over on the
4 left, the largest bars are seen in populations
5 between zero and 9,000 people. Indeed, we actually
6 do have several diseases for which the prevalence
7 is zero, and we'll mention that. We'll leave that
8 as a little teaser for you for later. But that's a
9 good thing.

10 This is a life table analysis that shows
11 that, in fact, a very substantial proportion of
12 designated products go forward to getting full
13 marketing approval. It's about 24 percent. This
14 is from an article that we published in Nature
15 Review and Drug Development. And the halftime is
16 roughly about four to six years for the different,
17 various kinds of products.

18 So what's your typical orphan drug? Larry's
19 already mentioned a bit about the heterogeneity,
20 and I'll put a little flesh on those bones to show
21 you that, in fact, they're really all over the map.
22 Here's a pie chart of designations from 2000 to

1 2006. As you can already come to expect, oncology
2 is that big slice of blue, light blue up there.
3 But they're all over the map. All of the different
4 forms of human pathophysiology, every organ system,
5 every medical specialty, we're all affected by all
6 these rare diseases.

7 Let me digress just for a moment to say that
8 we have learned most of our materia medica from
9 rare diseases. We all know something about
10 hemoglobin from studying people with beta thal and
11 sickle cell disease. We know amino acid metabolism
12 from PKU, from homocystinuria, from all of those
13 diseases. We know what the urea cycle is in
14 everybody in this room, the normal urea cycle,
15 because we have people with urea cycle disorders.

16 So these people with rare diseases, they've
17 taught us a whole lot. I mean, most of what we've
18 learned in our medical school texts has come from
19 experience with these people with rare diseases,
20 but they've been sort of left out of the drug
21 development process. Well, that's something of an
22 understatement.

1 So one of the first products -- there was a
2 movie made about this bubble boy which relates to
3 Adagen for ADA, adenosine deaminase deficiency, a
4 pretty infrequent disease. This was one of the
5 first orphan drugs to receive full marketing
6 approval. It's between 1 in 100,000 and 1 in a
7 million, and it's one of the causes of severe
8 combined immunodeficiency. And there's your
9 obligatory substrate and enzyme.

10 I did want to mention that this disease,
11 things have moved forward over the 27 years of the
12 Orphan Drug Act, and the science has moved forward.
13 In January of 2009, there was a gene therapy
14 reported for immunodeficiency and numbers of cures,
15 flat-out cures, were reported. And this is not the
16 only case of gene therapies that have come forward.
17 They also have received orphan status designation,
18 as have stem cell therapies and others. And we're
19 extremely hopeful, moving forward, that gene
20 therapy will have a lot to do about some of these
21 single-gene problems.

22 Naglazyme for mucopolysaccharidosis type VI

1 is one of your classic orphan product diseases.
2 Now, I mentioned that these are -- only about 5
3 percent of all orphan designations actually come
4 from the inborn errors in metabolism, but they
5 really are poster children. It's estimated that
6 there are only about 100,000 people with this
7 disorder worldwide.

8 But the drugs that treat disorders like this
9 throughout the orphan drug spectrum are often the
10 keys that fit into the lock so perfectly that they
11 have absolutely transformative effects -- just like
12 the ADA, just like the new gene therapy for Leber
13 amaurosis. Some of these are just stunning drugs
14 that raise the dead and make the blind see and make
15 the lame walk.

16 Here's a picture of stuffed lysosomes that
17 you see.

18 There are some serious ethical, social
19 questions that all this has raised. These enzyme
20 replacement therapies have become the single most
21 expensive therapies in the history of humankind,
22 bar none. And I said that they may be up to

1 400,000 per patient per year. In some cases it's
2 \$700,000 per patient per year.

3 Who pays for all of that? Well, we all do.
4 Fortunately, it's a very, very small proportion of
5 the budget because, as we define, these diseases
6 are exquisitely rare. FDA does not regulate price,
7 for anybody who is wondering, but they are
8 radically transformative and beneficial to
9 patients' lives.

10 The thing that we have to remember, the way
11 that the Orphan Drug Act has worked is that the
12 exclusivity, which has permitted drug marketers to
13 charge whatever the market will bear, that
14 exclusivity lasts seven years. But the drug
15 wouldn't have been developed without the
16 exclusivity, and the knowledge is eternal. It goes
17 on. And we have numerous examples in which
18 competitive forces have come in, and the prices
19 have come down after that period has elapsed.

20 Okay. I mentioned that there are some
21 diseases for which the prevalence is zero. That's
22 a nice thing. And agents of bioterrorism,

1 thankfully, fall into that category in many
2 regards. We have a couple of approved therapies
3 for chelation agents for plutonium poisoning, and
4 we don't have any plutonium poisoning; similar
5 cases for some anthrax and other agents of
6 bioterrorism.

7 I did mention that the criteria is that
8 fewer than 200,000 people in the United States have
9 the disease or condition. So one of the ironies of
10 my job is that we have all these very unusual
11 diseases that I didn't even hear about in medical
12 school, and then we have the most common diseases
13 of humanity, the neglected tropical diseases,
14 African sleeping sickness. Eflornithine, is an
15 example that we have an approved product for.

16 But malaria, schistosomiasis, tuberculosis,
17 and onchocerciasis, on and on, all of those
18 diseases which are common in other countries but
19 rare in the United States are also eligible for
20 treatment under the Orphan Drug Act. And there are
21 your trypanosomes. Is that what they're called?

22 Okay. So let's talk a little bit about

1 designation. It is a non-exclusive dyad of the
2 orphan indication in the moiety. So you get
3 designation for this moiety for that rare disease
4 indication. That's what a designation is for.
5 It's for the two of them, the pair of them
6 together.

7 Those designations are regulatory incubators
8 of tenuous ideas. They are often the target of
9 venture capital. They are not prescribable. Once
10 you get an orphan designation, a lot of people
11 think, oh, well, if it's orphan-designated, FDA has
12 approved. Well, it's not approved. We reserve
13 that word "approved" for marketing approval, and
14 designation, we use the verb "to grant" or "to
15 award" designation. But it is a starting point for
16 communication with the agency. My office serves in
17 many ways as a welcome wagon to people who don't
18 know what IND stands for.

19 The basis for designation, the first
20 question, of course, is what is the disease or
21 condition, and we'll talk a little bit about
22 whether that disease or condition can be subsetted.

1 But given the disease or condition and/or its
2 subset, there are two criteria that must be met.
3 The first is the medical rationale criteria. Is
4 there promise that your drug will treat it? And
5 the second is the prevalence criteria. Is that
6 disease in fact rare?

7 So this first question of what is a disease
8 or condition sounds fairly simple. It sounds
9 straightforward. But I'll give you some examples
10 in which it's not very straightforward, and there
11 are many, many that I'm not giving you right now.

12 For example, one of the very first things
13 that was brought before me three and a half years
14 ago was an appetite suppressant that was proposed
15 for treating Prader-Willi disease. Now, for those
16 of you who don't know, Prader-Willi disease is a
17 pediatric disease in which there's a specific gene
18 deletion that causes, at least in part -- one of
19 the things it causes is these children lack satiety
20 for food, so they never get full. They want to eat
21 all the time. They eat the dog food. They open up
22 the refrigerator. And they grow, and they grow,

1 and they become incredibly obese children. I mean,
2 you're talking 200-pound 8-year-olds. It's really
3 tragic.

4 So what is an appetite suppressant for --
5 what is the disease? Is the disease obesity or is
6 the disease Prader-Willi? Well, it's a little bit
7 of a puzzle, isn't it?

8 Another example is an adenoma regressing
9 drug, a drug which causes adenomas to regress,
10 which is proposed for use in treatment of familial
11 adenomatous polyposis. Well, what is the disease
12 or condition? Is it FAP, or is it adenomas?
13 Adenomas are garden-variety; they're common. FAP
14 is very different. Are they different?

15 Sometimes this changes over time.
16 Lymphomas, for example, when the Orphan Drug Act
17 was first put into place was considered all one
18 disease. They were all lymphomas. And they were
19 divided in to Hodgkin's and non-Hodgkin's, and the
20 non-Hodgkin's were divided into B cell, T cell,
21 and null cell.

22 I got there, and I'm trained in pathology,

1 so naturally I split them further according with
2 the WHO classification. And so now mantle cell
3 lymphoma, which is clearly very different from
4 anaplastic B cell lymphoma, will in fact qualify as
5 an orphan drug.

6 Okay. So the medical rationale criteria,
7 just to give you a little bit of -- so you know a
8 little better how we do it in the Office of Orphan
9 Products for this purpose, it specifically states
10 that we need to interpret this liberally because
11 we're trying to create a little group of promising
12 products here.

13 They can be data from clinical trials or it
14 can be data from a few case studies, a few case
15 reports, or it could be data from some animal
16 models, if there's an existing animal model that
17 shows use of that particular drug in the animal
18 model for that disease in humans. And if there's
19 no animal model, we'll rarely sometimes accept data
20 from in vitro experiments; for example, some of the
21 clotting factor orphans. But these are data, not
22 theories. Okay? So we actually need to be

1 observable reports of observed data, not, this
2 molecule goes up and makes that one go down.
3 That's not adequate.

4 The prevalence can be found for each disease
5 for the second criteria. It is an epidemiologic
6 question. We consider all the estimates. We
7 extrapolate when necessary. We need an actual
8 number. That's how I was able to generate that
9 previous chart. And when a range exists, we accept
10 the highest.

11 We're pretty careful about this. You know,
12 the Orphan Drug Act is an act which can be subject
13 to abuse. This orphan can be abused. And so it
14 requires eternal vigilance if it is to continue to
15 deliver good drugs for people with rare diseases.

16 Now, the medically relevant subset, let
17 me preface with the test that we apply to determine
18 whether or not a medically relevant subset is truth
19 or fiction. We would expect that the drug would be
20 expected to treat only the subset of the disease
21 that is proposed, and not the rest of the disease.
22 So if you have the disease or condition, consider

1 it as a pie, a sponsor comes forward and says,
2 well, this is the subset that is my medically
3 relevant subset. Our test for whether or not that
4 argument is true is, does your drug not work in the
5 rest of the pie?

6 I know that's a rather unusual position for
7 the agency to be in, to require sponsors to
8 demonstrate to us the inefficacy of your drug, but
9 in this particular case, that is how orphan status
10 determination for a medically relevant subset is
11 applied.

12 So we say no to salami slicing, yes to
13 medically relevant subsets. Here are some
14 examples.

15 A drug to treat hypertension among left-
16 handed people with freckles? No. Okay? That's
17 not going to work. A drug to treat renal cell
18 carcinoma among those refractory to first-line
19 treatment? No. That's not going to work, either,
20 because your drug probably would treat the people
21 who weren't refractory to first-line treatment.
22 But a drug, a monoclonal antibody against a surface

1 antigen that can only be found in a rare subset of
2 breast cancer cases? Yes, absolutely, because that
3 monoclonal antibody would in fact be developed and
4 only useful in those cases of people with that
5 particular surface marker.

6 A drug used to be treating for stage 2B
7 through 4 melanoma? Yes, because superficial
8 melanoma is universally excised. A wide area
9 excision universally cures superficial melanoma,
10 and you would never give chemotherapy to people
11 with superficial melanoma. And these more advanced
12 cases, in fact, are fewer than 200,000. And
13 pediatric Crohn's disease, yes, as well, because
14 pediatric populations have always consistently been
15 considered a different population.

16 I want to make just a couple of mentions --
17 I'm not going to read this whole slide -- a couple
18 of mentions on our grants program. We do have
19 FDA's largest grants program. In fact, it's larger
20 than all the other grants programs at FDA combined,
21 but FDA is not known as a bit grants-making agency.

22 It's incredibly successful, and we have a

1 recent inclusion in our most recent RFA of a
2 pharmacometric component for that grants program.
3 And I refer you to that.

4 Our grants program is about \$14 million.
5 It's exclusively for clinical trials, phase 1, 2,
6 and 3, up to \$200,000 for phase 1, \$400,000 for
7 phase 2 for three or four years. And we only have
8 one receipt date. That's in February, for those of
9 you who want to get started working on that.

10 Lastly, let me just wrap up with a little
11 bit of a comparison and contrast between my office,
12 the Office of Orphan Products, and the review
13 divisions. My office considers claims for promise
14 for the purposes of making orphan status
15 designation. The review divisions are concerned
16 with these dispassionate questions of safety and
17 efficacy, this rather contemplative activity.

18 If you come to my office and get orphan
19 status designation, you get bragging rights. And
20 if you look at the companies out there, they'll
21 proclaim it from the hilltops of their websites
22 that they have indeed gotten orphan status

1 designation, and if you read it really fast, you'd
2 think that they were out there on the market.

3 But in fact, you do get bragging rights. It
4 is an official nod. It's an official action, and
5 it's a good one. But if you go to the review
6 divisions and they review your efficacy and safety
7 data, they can decide to give you marketing rights.

8 We in Orphan Products are advocates for this
9 process. We are proponents of making more products
10 for people with rare diseases, and we do that in
11 any way and anyhow that we can. So we're the
12 cheerleaders -- appropriately enough, being in
13 Dallas -- whereas the review divisions are more the
14 monks. Their consideration of data are integral to
15 their making good public health decisions. Their
16 job is not to approve drugs. Their job is to make
17 good public health decisions on this.

18 We are guests at the pre-IND meetings, the
19 end of phase 2 meetings, all the other meetings.
20 But the review divisions own them, and we go in
21 support of them. My office is the only part which
22 actually tries to calculate or evaluate the

1 prevalence claims of these rare disorders, and
2 that's not specifically relevant to the review
3 divisions' concerns.

4 Shortage issues, which many of you may have
5 heard we had this last year -- a few this last year
6 or two, a few rather tragic ones, which continue,
7 actually -- are shared between our office and the
8 review division.

9 So with that, I'm happy to take any
10 clarifying questions, I guess, and we'll proceed.
11 Thank you so much.

12 [Applause.]

13 DR. VENITZ: Thank you, Dr. Cote.

14 Any questions, clarifying questions, for
15 Dr. Cote?

16 DR. GIACOMINI: A very nice presentation.
17 The review divisions that review the products once
18 they're ready for that, do they have people from
19 your office on them? Are there somehow special
20 considerations in the review because of the orphan
21 designation?

22 DR. COTE: You'll hear later today from

1 Dr. Anne Pariser, who sits in the review divisions.
2 She's in the Office of New Drugs, which is over the
3 review divisions in CDER. And she'll tell you a
4 little bit more about her activities. But, yes, I
5 speak to Anne every single day, and we liase
6 constantly with the review divisions.

7 After orphan status designation is made, the
8 primary relationship of sponsors transfers to the
9 review divisions, but that doesn't mean that our
10 relationship is ended. We continue on. We work
11 with them in an ombudsman sort of way, sort of
12 fashion. And we do indeed go to several of these
13 meetings as well. But the relationship shifts
14 after orphan status designation has been achieved.

15 DR. VENITZ: Dr. Mager?

16 DR. MAGER: Thank you again for the nice
17 presentation. I'm going to face this way for the
18 question, and then I'll turn around for the answer.

19 DR. COTE: Okay.

20 DR. MAGER: So you described the orphan
21 designation for single moieties. But I think the
22 future for treating these orphan diseases are

1 likely going to be in combination regimens. And so
2 I was wondering if there's any unique mechanisms or
3 distinctions for combination products or
4 combination regimens versus single moieties.

5 DR. COTE: Well, you're bringing up a
6 challenge that the current regulation and the
7 current structures don't really address. So you're
8 absolutely right. This is particularly important
9 for some things like tuberculosis, for example. We
10 already know that we need combination therapies for
11 that. But right now it's single moieties.

12 I do want to mention that there are
13 provisions for breaking the orphan status
14 exclusivity. If the same moiety is used in a
15 different preparation which is found to be more
16 effective or safer than that first, exclusivity can
17 indeed be broken. But provisions for new
18 combinations -- and I wouldn't extend that further
19 to say diagnostic devices and drugs that are
20 combined together -- those things really haven't
21 been as well worked out in the rare disease bases.
22 They will definitely need to be.

1 DR. VENITZ: Dr. Barrett?

2 DR. BARRETT: In your slide where you review
3 the medical rationale criteria, you've listed a lot
4 of the types of data that would constitute a
5 promise for a drug. But could you comment on the
6 percentage of approvals where data other than
7 clinical trials was the basis for an approval?

8 DR. COTE: Well, it's funny that you should
9 mention that because we just recently did a review
10 of 2009 data. We'll be publishing it shortly.
11 Roughly about half of all of the products which
12 received orphan status designation had some
13 clinical experience.

14 That tells me that many sponsors didn't come
15 in early enough. They probably could have
16 satisfied the criteria for orphan status
17 designation with only animal model data that they
18 had antecedent to the time of their actual
19 application.

20 So it's about half have -- a little more
21 than half have some clinical trials information,
22 clinical trials or case reports, in human

1 information, and about a third of them have animal
2 model data, and the rest is in vitro data.

3 DR. VENITZ: Let me ask you a question. How
4 many of those orphan diseases would you consider to
5 be serious?

6 DR. COTE: Oh, virtually all of them. Now,
7 this is an interesting question because, you know,
8 we work very, very closely with the EMA. And the
9 EMA has, in addition to our two criteria of medical
10 rationale and the prevalence criteria. They also
11 have two other criteria, one they call significant
12 benefit, which requires them to require sponsors to
13 show that their drug adds something to the
14 armamentarium; and one example of things that would
15 be important would be pulmonary arterial
16 hypertension, for example. They look very closely
17 at those because there are a number of products out
18 there already. And the second is seriousness. And
19 I really don't understand the European criteria for
20 seriousness; they've never really turned on down on
21 the basis of that because all of these diseases
22 that these products purport to treat are real

1 serious. Real serious.

2 DR. VENITZ: Dr. McLeod?

3 DR. MCLEOD: So as we start learning more
4 about disease, there may be a time when it's rare
5 for a disease to not meet your criteria.

6 DR. COTE: You are so right. And people
7 look forward and they say, oh, my God.
8 Everything's going to be an orphan. And if
9 everything's an orphan, then nothing's an orphan.
10 Right?

11 So yes, you can look down that road, and you
12 can see a time when personalized medicine has
13 arrived, and we have no more of this impersonal --
14 we have no more diseases in which we have
15 20 million people with that disease because they
16 just won't exist. But that's looking down the
17 road.

18 We're dealing with today, and we started a
19 quarter century ago. And today, the Orphan Drug
20 Act is still responsive to the needs. And we still
21 have a long ways to go with these 7,000 diseases
22 that we already have that we know are rare before

1 we get into the business of sub-splitting and
2 splitting up the common diseases into different
3 entities.

4 But right now -- even though most cancers,
5 for example, most cancers that occur are common
6 cancers; lung, breast, colon, prostate. These are
7 going to be, for the most part -- I mean, that's
8 what most cancers are. Now, most kinds of cancers
9 are orphan products. There are probably, what, a
10 thousand, 2,000 different kinds of cancers. And
11 those would be orphan -- excuse me, rare diseases
12 which might be treated by orphan products.

13 But for today, the Orphan Drug Act is
14 working. It's working incredibly well. In the
15 future, will we shift in such a way that everything
16 becomes an orphan? I guess it's foreseeable and
17 conceivable. It really is, but it's not our
18 present.

19 DR. MCLEOD: So my question is whether
20 there's effort to change the way study design, and
21 particularly the statistical component of FDA,
22 views these, because often the hang-up that we've

1 got on the cancer side has been around the lack of
2 rules for when something can be declared to be both
3 safe and effective. Because of the small numbers,
4 you can usually say neither with confidence.

5 So, sure, there's approval with 12 patients
6 for an extremely rare disease. But with these more
7 common rare diseases, if that could be said, how do
8 we get a better statistical and clinical trial
9 framework?

10 DR. COTE: Well, I mean, that's the million-
11 dollar question. It's incredibly difficult for me
12 to just sit and come up with something that's going
13 to be stunning and everybody's going to say, oh,
14 he's got the answer to that; the problem is solved.
15 That's not going to happen today. However, Anne
16 will tell you a little bit more about the
17 flexibility that the agency has extended with
18 regards to rare diseases.

19 We are exploring more some alternative
20 biostatistical approaches, Bayesian approaches and
21 adaptive designs, and we actually have a course
22 that we've put on, now in our third year, for FDA

1 reviewers to learn about alternative approaches
2 that are outside of the randomized, placebo-
3 controlled, double-blinded trials because they have
4 two things. They have pitfalls and they have
5 evidentiary value. And you need to know what both
6 of those are. And if you know what both of those
7 are, maybe you can make better decisions about drug
8 approvals in the future.

9 So that's one hope that we're looking for,
10 is some of these alternative techniques for getting
11 more out of less, which Larry already said, is
12 where we have to go next.

13 DR. VENITZ: Last question. Mr. Goozner?

14 MR. GOOZNER: I notice from the chart that
15 there is a handful of approvals for orphan drugs
16 that are, say, in the 150- to 200,000 range. Is
17 there a process -- you know, one can imagine
18 through the magic of marketing that the actual
19 patient populations are significantly larger than
20 200,000. Is there a process for removing orphan
21 drug designation? And if there is, has it ever
22 been used?

1 DR. COTE: There are processes for removing
2 them. They're codified into the regulations.
3 They're very specific and they are very discrete.
4 And I'll refer you to them in the CFR.

5 However, one thing that does not remove a
6 product from orphan status designation is if the
7 prevalence should increase subsequent to the time
8 of the application for orphan status designation.
9 This was specifically written into the law at the
10 time because, transporting you back again to 1982,
11 it was the days of HIV, the early, early days of
12 AIDS, and people knew that even though AIDS was
13 then a rare disease, that it was likely to exceed
14 that. And they wanted the provisions of the Orphan
15 Drug Act to apply to HIV and AIDS at that time
16 until such time as it exceeded the prevalence
17 criteria.

18 So, yes, there are provisions, if there was
19 malfeasance, or if somebody was lying about
20 something, or we made a big mistake and we never
21 should have given it, but those are written into
22 law.

1 DR. VENITZ: Thank you again, Dr. Cote.

2 DR. COTE: Thank you so much, all of you.

3 DR. VENITZ: Our next speaker is Dr. Mundel,
4 and he's going to discuss the paradox in orphan
5 drug development.

6 **Presentation - Trevor Mundel**

7 DR. MUNDEL: Members of the advisory
8 committee, FDA, it's my great pleasure to tell you
9 about some of our work in this area of orphan and
10 rare diseases. But as a disclaimer, I just have to
11 say that I'm really here as a mouthpiece for many
12 hundreds of scientists in industry, and their
13 collaborators even more, in academia; but also, I
14 think, importantly for patients and their
15 caregivers who've participated in the programs that
16 I'll tell you about.

17 I think Larry and Tim have set a very nice
18 stage over here in the classifications and their
19 thinking about rare diseases, certainly very
20 instructive for me. But one thing struck me when I
21 was thinking about this presentation, is that I've
22 never heard of an orphan disease strategy, rare

1 disease strategy, and we don't have an orphan
2 disease unit specifically. So that is immediately
3 a factor which came up. Nevertheless, I have the
4 tremendous good fortune to spend a lot of my
5 time -- most of my time -- and a lot of my
6 resources actually in studying orphan and rare
7 diseases.

8 So how does that come about? Well, this is
9 the conceptual paradigm that we've been following
10 over here, and it fits very much into some of the
11 questions earlier around what is serious, what is
12 not serious, what is understood, what is not
13 understood rationales.

14 So in the first instance, we want to study
15 diseases where there's very high unmet medical
16 need, which you can get into definitions around.
17 But, briefly speaking, these are diseases with high
18 morbidity/mortality for which there are not good
19 treatments. Let's just put it like that.

20 But it is the other axis over here, which is
21 absolutely critical for successful drug
22 development, and that is scientific tractability,

1 which is a much more judgmental aspect of
2 scientific judgment and looking at data, in fact,
3 to come up with that assessment. So do we actually
4 understand the mechanism? Do we have some human
5 genetic evidence which indicates that an early
6 compound is likely to be active?

7 I think that if you take this paradigm, you
8 are very naturally led to study rare diseases
9 because it typically is there where you have the
10 understanding, monogenic diseases, for instance, a
11 particular mechanism where you might be able to
12 actually really prove in a rational way that your
13 drug therapy impacts a particular pathway, as
14 opposed to the more complex diseases where that may
15 be more difficult.

16 So my contention would be that if you really
17 pursue a scientific approach without a lot of other
18 constraints, then naturally you will end up, in the
19 first instance, at least, in the space of these
20 targeted therapies, orphan diseases.

21 Now, that's the theory. But I wanted to
22 take you through a series of case studies which

1 illustrate some of the things that we've heard
2 about already and some of these concepts. And I
3 was discussing this with Don Stanski, who works in
4 my group. And Don was saying, this is a very
5 compelling paradigm, first looking at very targeted
6 populations, and then looking at the expansion
7 going forward into other populations where the
8 therapy might actually have an effect. And what I
9 felt was that that is true, in theory. In
10 practice, as you'll see from some of the examples,
11 the path is much less linear and there are lots of
12 twists and turns in this aspect and this approach
13 to drug development.

14 So an example that we've already encountered
15 here is this IL-1 blocker, canakinumab. So it
16 blocks IL-1, and IL-1 is one of the beneficial
17 cytokines in terms of defending against various
18 infections, for instance, but it can also be very
19 noxious in certain circumstances, leading to
20 inflammation, which may be undesirable.

21 Now, at the time that we developed an
22 antibody blocking a selective type of IL-1, IL-1

1 beta, there already were blockers, nonselective
2 blockers, being used, particularly for rheumatoid
3 arthritis. And we were trying to think about what
4 indication would actually show us whether this was
5 a promising drug, really promising or not.

6 To go into a disease like rheumatoid
7 arthritis, very likely the results over there had
8 been fairly modest, so you see 20, 30 percent of
9 patients with a fairly good response. But then
10 understanding what that patient population is, we
11 didn't have that understanding. At that time, a
12 result came up from London, actually, where
13 somebody was looking at a rare autoimmune disease
14 called the Muckle-Wells syndrome. And this is due
15 to a single mutation in this cluster of proteins
16 called the inflammasome. And in the cell, the
17 inflammasome is responsible, actually, for
18 processing the precursor of this toxic type of
19 cytokine into its active form. And it looks like,
20 in these patients, they are not able to shut down
21 that process. And that process in them gets
22 activated extremely easily, even by factors that

1 for us wouldn't trigger a big inflammatory
2 response, for instance, exposure to cold.

3 So it was shown in a few of those
4 patients -- and I think at that time there must
5 have been less than about a hundred characterized
6 families with that disease -- that it looked like
7 an IL-1 blocker worked very well. And you would
8 imagine, in fact -- you would certainly predict
9 that an IL-1 blocker for an IL-1-based genetic
10 disease would be the magic bullet.

11 So we went into that group of patients in
12 London, actually. And what we had was in the very
13 first patient, when we administered the blocker to
14 that patient -- now, these patients have a variety
15 of disease severity. There's an infantile form
16 which really has fairly high mortality. In the
17 adult form that we were studying, the patients are
18 plagued by joint pains, troubling rashes, deafness,
19 sometimes kidney problems, so a very disabling type
20 of disease.

21 But in that very first patient, within five
22 hours the troubling rash started to clear from the

1 patient's back. And by 24 hours, it was really a
2 complete clinical response. It was absolutely
3 remarkable. We were on the line to the clinical
4 site in London and getting almost an hour-by-hour
5 account of what was happening to that patient. And
6 that entire proof of concept study was just four
7 patients.

8 Now, the interesting thing about those four
9 patients is that we were able to work out in
10 considerable detail the clinical pharmacology of
11 this block because they all responded dramatically.
12 And what we'd gone in with was the highest safe
13 dose that we could administer to these patients.
14 We went in with that highest safe dose, and they
15 all responded for roughly 180 days. They went into
16 total remission for that time.

17 We were then able to set up a paradigm of
18 re-dosing them on flare, and we did a type of
19 reverse dose response. And we could sort out
20 ultimately what it came down to was that actually
21 varying the dose administered really just varied
22 the time between flares. So from those four

1 patients, we had a very good understanding of the
2 clinical pharmacology that we carried forward into
3 the rest of the program.

4 Now, this is where the story gets a little
5 bit non-linear because as we were doing this study
6 in Muckle-Wells syndrome, data emerged, and there
7 was a clinical study performed as well, that the
8 IL-1 system, the same inflammasome system, was
9 relevant to gout and that gouty crystals, these
10 urate crystals, were a major trigger for the
11 inflammasome. So the prediction was, in pre-
12 clinical studies and then with the nonselective
13 blocker in some patients, it would be that this
14 would work extremely well.

15 That is exactly what happened. So we have
16 now under review the same drug for gout, which is a
17 much more prevalent condition. But that science
18 only came out while we were in fact studying it for
19 the rare indication. We didn't know that
20 beforehand.

21 Now the puzzle actually grows even more
22 interesting because, at the same time, while we

1 were studying the gout indication, data came out
2 that cholesterol crystals, which are deposited in
3 atherosclerotic lesions in vessels, also are major
4 stimulants to the inflammasome, and the
5 inflammasome and IL-1 may be a major driver of the
6 highly inflammatory and dangerous type of
7 atherosclerosis. So we have a program now looking
8 at cardiovascular risk in a much broader set of
9 patients.

10 So this was really a classic paradigm where
11 understanding the mechanism and understanding the
12 properties of the drug in that very defined set of
13 patients was tremendously informative and opened up
14 the prospect of this type of expansion.

15 Further non-linearity, though, is that at
16 the same time as we were doing that, thinking about
17 other populations that might benefit, we started to
18 look at patients with this juvenile rheumatoid
19 arthritis, or idiopathic arthritis, as it's now
20 known, systemic juvenile idiopathic arthritis, what
21 this adolescent onset of, effectively, Still's
22 disease looks like. And we found that in contrast

1 to rheumatoid arthritis, this is actually quite a
2 distinct entity, and the response over here looks
3 dramatic as well.

4 So here this is a rare disease, not quite as
5 rare as the initial Muckle-Wells CAPS disease, but
6 it is very much a rare disease. But the results
7 and the responses that we are initially seeing look
8 very much like the Muckle-Wells. And there is a
9 whole genetic puzzle to understand, a little bit
10 more complicated here. But we have some indicators
11 that one can unwind the genetics over here as well.

12 So we had the very nice expansion that Don
13 was referring to, but then we had a twist in the
14 path, and we are back here with a much rarer
15 disease entity, but where this drug looks like it
16 will have high therapeutic benefit. So this is the
17 expansion in the linear fashion over here but, as
18 I've indicated, a lot of twists in that path.

19 Another program that has come to the fore
20 over here -- and I don't want to put up a lot of
21 chemical pathways over here -- but this is a good
22 example of -- I guess Larry would call this

1 repurposing to some extent because this class of
2 drugs had been around for a while, used in the
3 transplant area in particular, but the biology then
4 only emerged later on that indicated it would have
5 application elsewhere. And these are the mTOR
6 blockers. Everolimus is the one that we have, and
7 there are a couple out there, RAD001.

8 The story began over here that we were
9 working with David Franz in Cincinnati, which is
10 one of the leading centers looking at mTOR related
11 to a genetic disease, a monogenic disease, called
12 tuberous sclerosis, which is a fairly rare entity.
13 But it is a disease where there is a mutation in
14 some suppressors of mTOR. So this mTOR becomes
15 over-accelerated and excessively active. So, once
16 again, rationally, you would think that a blocker
17 would be very useful for these patients, blocking
18 the pathway and actually directly targeting the
19 genetic lesion in this case.

20 So we were working there, and we wanted to
21 test that hypothesis that this biology really
22 played out, and we did a small study in tuberous

1 sclerotic patients. Some of the other blockers had
2 already been looked at, and there were a lot of
3 anecdotal reports that it worked.

4 So this was not surprising. This was well-
5 known information in the community that these
6 blockers potentially would be effective for these
7 patients, although there was a lot of issues around
8 what dose. This drug had previously been used in
9 transplant, and was then being looked at in
10 oncology. But these kids may be on this drug for a
11 very prolonged period, so what dose would be safe?
12 And the safety information came up over here.

13 Here we have a patient with some of the very
14 troubling skin lesions, these hamartomatous
15 angiofibromas that these patients get as part of
16 the skin manifestations of the situation. And we
17 saw some responses over there as well with the
18 blocker.

19 But then David Franz came up with this
20 particular population. And he mentioned it more
21 as, this is a real need out there. A number of
22 these kids have these giant cell astrocytomas in

1 their brains, and these tend to happen around the
2 foramen of Monro, which gates the third ventricle.

3 So when these grow, as they frequently do,
4 they get an obstructive hydrocephalus, which is
5 very difficult to treat. And they treat it, and
6 then it recurs, and then they have to be treated
7 again. So it really is a disaster for these
8 families, for these kids to have this condition.

9 It was clear that an mTOR inhibitor should
10 actually work for this, but nobody was doing the
11 study. So David really persuaded us, and we were
12 working in the space, so we agreed to do the study.
13 It was a small study I think, of the order of about
14 20 patients.

15 But they had the dramatic response you see
16 over here, with the lesions that are lighting up
17 over there on the right really dwindling here on
18 the left, a dramatic response, and a resolution of
19 the pressures in their ventricular system. And
20 this was registered last year as an orphan drug for
21 this condition of SEGA, and the difference it makes
22 to these patients is tremendous.

1 What that has opened up for us is a whole
2 different approach as well to other aspects of the
3 disease because at the same time we were looking at
4 this hard resolution of a tumor, it was noted by
5 the families that there were a lot of behavioral
6 improvements in their children, not only behavioral
7 improvements, but they also have a very aggressive
8 and very difficult-to-treat form of epilepsy, and
9 that also seemed to respond. So now there is a
10 whole new direction opening up around looking at
11 the effects of this class of drug on epilepsy in
12 these patients, but maybe in other patients as
13 well.

14 I wanted to give an example which is a
15 little bit opposite to those ones that seem
16 rationally to start from a position of a rare
17 disease and move forward, and this is in the
18 immunology area again. One of the big excitements
19 in the last 5 to 10 years has been the discovery
20 that there is a new type of immune cell, the so-
21 called Th17 cell. And it secretes another
22 cytokine, which is essential for fighting

1 infections and other processes, but also has been
2 implicated in a number of autoimmune diseases.

3 One of the diseases that it had been
4 implicated in, and this was the first area that we
5 went into, was in psoriasis. So our proof of
6 concept over here was in psoriasis, and what we saw
7 was a dramatic resolution of the psoriatic lesions
8 in a fairly small cohort of patients, so giving us
9 confidence that this biology of IL-17 and its
10 relationship to some of these diseases was actually
11 borne out. But psoriasis clearly is not a rare
12 disease, and I didn't want to dwell on some of the
13 negatives that can occur in a program.

14 At the same time, we were looking at some
15 rarer diseases that also have had an implication of
16 the IL-17 pathway. And one of those has been
17 uveitis, so an eye inflammation which in its severe
18 forms, particularly when it is posterior, or pan-
19 uveitis affecting the whole eye, can lead to vision
20 loss and permanent vision loss, so really a very
21 severe eye inflammatory disorder, and difficult to
22 treat, very high-dose steroids prolonged in these

1 patients.

2 So we went into severe uveitis. And what we
3 found was the IL-17 blocker worked very well in
4 this group of patients. But then we came to the
5 issue of what is severe uveitis? How do you define
6 that? That's not really a clear orphan
7 designation.

8 So we started to look for what group of
9 patients would be well-defined that we could study,
10 and we found that one or two of the patients in
11 this group of severe uveitis, from whatever cause,
12 actually had Behcet's disease. Now, Behcet's is an
13 immune disease. It can affect many organs. It
14 affects the brain as well, in some cases, so a
15 severe systemic disease. One of the manifestations
16 is uveitis, and it's a severe form of uveitis.

17 So the idea was, let's segregate out -- and
18 those one or two Behcet's patients didn't seem
19 different from the rest of the group. So we said,
20 well, let's study Behcet's. That's a well-
21 identified group with classification criteria. It
22 meets all the requirements for an orphan disease.

1 And we did that.

2 A couple of problems arose, and I've just
3 indicated over here. I'm not getting into all the
4 gory details. The first problem was where these
5 patients are found. It's typically a disease of
6 high prevalence around the Mediterranean. So
7 suddenly we were looking at sites in rural areas
8 around the Mediterranean in Turkey that had never
9 been involved in clinical studies before, so there
10 was that whole exercise of training sites to GCP
11 standards.

12 The other thing is that there had never been
13 a randomized, placebo-controlled study in Behcet's,
14 so we had to define the design of it. And then the
15 other thing was that clearly the patients could not
16 be on placebo effectively. They were treated by
17 high-dose steroids. So the paradigm was, really,
18 our drug versus a placebo, and then attempting to
19 actually wean patients off their high-dose
20 steroids. Now, if anybody's been involved in these
21 weaning types of studies, you know how extremely
22 difficult they are to get a standardized protocol

1 across many sites, particularly in this case when
2 these sites were in rural and inaccessible areas.

3 So to get to the end of the story over
4 there, ultimately it looked like we had a negative
5 study, and it looked like it was negative for
6 reasons of high variability in that patient
7 population. Really, the standardization of the
8 protocols was not really adequate. Maybe there was
9 a response, and maybe there was not in some
10 subgroups. So going down this path can lead you
11 into these blind ends over here.

12 We've continued to study patients with
13 uveitis, with severe uveitis, and what we find is
14 in those patients, it works extremely well. So we
15 have now this conundrum to solve for ourselves, is
16 how do we move forward in uveitis, having hit a
17 blind end when we went into this one subpart or one
18 slice of that population?

19 Another program which has attracted a lot of
20 attention has been a program we have for mental
21 retardation. That's the most prominent part of
22 this program. So this is a drug which is a blocker

1 of a glutamate receptor, mGluR5, a metabotropic
2 glutamate receptor.

3 The story here around fragile X mental
4 retardation, which is the commonest genetic cause
5 of mental retardation, is that it had been known,
6 and there's even a mouse model whereby it looks
7 like the protein, which is mutated or there's a
8 triplicate repeat in this protein, actually is an
9 inhibitor of mGluR5, of the receptor, of production
10 of the receptor.

11 So that in the case where there's a loss of
12 function of that protein -- or actual loss of the
13 protein is what happens in these patients -- there
14 is an over-ramping up of the mGluR5 system; so,
15 once again, very rational that a blocker should be
16 effective over there.

17 Now, this is a blocker that had started off
18 its life, and there was a hope at one time, that
19 the mGluR5 pathway would be a cure-all for anxiety
20 disorders broadly or for depression, and might even
21 have application in Alzheimer's disease. So there
22 were very broad aspirations for the mGluR5

1 mechanism.

2 We came down to a couple of these rare
3 diseases, like Fragile X, where we could more
4 explicitly and rationally understand whether this
5 mechanism was relevant to disease.

6 But the twist on the story with
7 Fragile X -- now, it's maybe the commonest cause of
8 monogenic mental retardation, but it's still a rare
9 disease. When we looked at the study data overall,
10 we found that there was a modest effect overall.
11 But we'd already understood from existing work that
12 there was an epigenetic component to this, that is,
13 another way of marking genes to actually be
14 transcribed or not. And there was a methylation
15 status that one could determine for the promoter to
16 this protein whereby if it were fully methylated,
17 it would mean zero protein as opposed to various
18 forms of partial protein production, so sort of
19 partial manifestations of the genotype.

20 When we looked at -- and we'd already
21 planned to look at this epigenetic marker -- when
22 we looked at the population segregated full

1 methylation versus partial, we found a very
2 dramatic response. So we've gone now forward
3 potentially into a slice of the Fragile X
4 population, and we'll probably see the results I
5 hope end of this year or maybe early next year, and
6 see whether the methylation status actually makes a
7 difference or not.

8 There are some other populations that
9 mechanistically have had suggestions that the
10 mGluR5 mechanism may be relevant. We know that
11 there's an overexpression of mGluR5 in the basal
12 ganglia, and it seems to be particularly a problem
13 with levodopa-induced dyskinesias; also in
14 Huntington's disease, an overexpression of mGluR5,
15 up-regulation of mGluR5 in the striatum, which is
16 impacted in Huntington's disease. So we have some
17 particular studies going forward over there as
18 well.

19 Those are the case histories I wanted to
20 bring forward for your attention today, and I think
21 that they demonstrate quite nicely some of the
22 categories that Larry mentioned earlier. But what

1 they've raised for us are a host of other
2 questions -- and I've just put down a few of them
3 over here, and I'll mention them -- as we've got
4 into this area of drug development.

5 So I've shown you some of the successes
6 we've had, and I've shown you at least one failure
7 over here. But there are debates around what if we
8 do have some very high responders, and they're just
9 few in number, for a therapy that has no hope of
10 progressing forward? What are we going to do?

11 Well, clearly, there is a need to provide
12 those few patients who have a very good response,
13 even though we may not understand why, with this
14 drug that may be lifesaving for those few cases.
15 But how do we do that in a way that makes sense
16 from the entire business?

17 Now, we've committed, because I think that
18 it would be the death knell of our working in rare
19 diseases if we were to find some responses in a
20 population, and terminate a program, and not
21 provide those patients with the drug. But it does
22 provide a lot of questions and issues for us.

1 There are the geographies. We have had to
2 track down these rare diseases. In the Muckle-
3 Wells case, for instance, we found a family that
4 had moved from the U.K. back to their home in
5 India. So suddenly we had some patients in India.

6 So we are doing these indications in many
7 different parts of the world, with very uneven
8 regulatory supervision in those areas. So that
9 leads us to be very cautious in terms of being sure
10 that we have adequate, good clinical practice
11 actually exercised over there.

12 Then, finally, in terms of some of the
13 incentives you've seen that are built into the
14 Orphan Drug Act, so the ability to develop a useful
15 business model, and the ability to have the freedom
16 to actually pursue the scientific hypotheses which
17 naturally will lead you to study some rare and
18 orphan diseases, has been absolutely critical to us
19 being able to do this.

20 I thank you for your attention, and I will
21 take any questions.

22 [Applause.]

1 DR. VENITZ: Thank you, Dr. Mundel.

2 Any clarifying questions by committee
3 members? Dr. Huang?

4 DR. HUANG: Thank you for a very nice
5 presentation. I have a question about when do you
6 ask for clinical pharmacology questions, like
7 dosing and specific population of drug interaction,
8 in various drug development paradigm? For example,
9 you have talked about cases that expand from rare
10 disease to a patient -- an indication was much
11 larger patient base, or parallel indication
12 exploration.

13 When would you consider specific questions
14 on the clinical pharmacology dosing should be
15 explored?

16 DR. MUNDEL: You know, I think that our
17 approach on the dose side, which is the most vexing
18 issue, never mind all the other questions one might
19 ask. Typically, after doing a program, say a
20 single dose program, maybe in healthy volunteers if
21 the therapy permits it, and then a short multiple-
22 dose program so we understand what the safety

1 limits are.

2 Our tendency is to be going with the highest
3 safe dose we can in the targeted population to
4 ensure that we effectively take out the question
5 of, did it work or did it not work? And then we
6 have an expansion of that program to then get into,
7 a little bit retrospectively, now what is the
8 minimal effective dose, often which is very
9 difficult to characterize because you have very few
10 patients.

11 But if you have a response which is so
12 homogeneous and so very clear, then your ability to
13 ask these questions around dose, for instance, are
14 really much, much enhanced.

15 The other thing that we've found -- and I
16 think, Larry, you showed some of the slides around
17 this -- is you really have to put this into a PK/PD
18 paradigm because you have a few sparse patients.
19 You're never going to be able to do the usual kinds
20 of statistical analyses, so you really need to have
21 a model that you've built of how this is working in
22 that particular disease.

1 Then you can add to that model. That is
2 also particularly important when you are switching
3 between indications. So you're going from your
4 rare disease, where you understand the mechanism,
5 then to cardiovascular risk reduction, for
6 instance.

7 To have a model that you can actually change
8 on the mechanistic end, and you can have various
9 data that might indicate the effect in gout is 80
10 percent of the effect in Muckle-Wells and in
11 cardiovascular, the population that is going to
12 respond, that's homogeneous, is maybe 60 percent.
13 So you can put these constraints in your model.
14 But having that initial model is tremendously
15 helpful in terms of making the dose projections
16 that you need for the other indications. And we've
17 used that extensively to jump between indications.

18 DR. VENITZ: Dr. Barrett, last question.

19 DR. BARRETT: You mentioned at the beginning
20 of your presentation that Novartis doesn't have a
21 specific rare disease or orphan disease strategy.
22 But given the amount of effort and resources that

1 it takes to chase down some of these scientifically
2 intriguing questions, how do you make decision
3 points around which questions are going to be part
4 of your strategy for the routine drug development,
5 or drug development in which this would be a
6 subset?

7 How do you justify the time and effort, I
8 guess is my question?

9 DR. MUNDEL: Well, I think we've taken a
10 very explicit approach to saying that there's an
11 exploratory phase of work. And the investment in
12 these studies is expensive in the periphery in
13 terms of the production, say, of the antibody. But
14 the actual proof of concept studies are much less
15 expensive than any typical phase 2B kind of study,
16 phase 3 study, by an order of magnitude. So we can
17 do many of these small proof of concept studies.

18 As it happens with the rare populations,
19 actually, your proof of concept study effectively
20 might be your phase 3 study, much as in the
21 oncology paradigm. So we can apply I think very
22 much the same kind of thinking across the

1 portfolio. But as long as we make that separation,
2 which there's an exploratory phase where all
3 programs are gated by small studies, even for the
4 larger indications, then you can see what happens.

5 So if you try and apply any of the business
6 market analyses in that early stage absent of data,
7 I have only seen errors made where people have gone
8 down a path where something looks promising early,
9 big disease population, let's go in that direction.
10 Doesn't work. We've seen many, many examples of
11 that.

12 So what you can filter out in that
13 exploratory phase on the basis of actual data --
14 and I'd have to support that point. You have to
15 see the data. It's not the theory that counts.
16 And human data counts many X more than animal or in
17 vitro data.

18 DR. VENITZ: Thank you, Dr. Mundel. I'm
19 sorry, we are running out of time.

20 Our next speaker is Dr. Bashaw, and he's
21 going to propose a clinical pharmacology decision
22 tree.

Presentation - Dennis Bashaw

1
2 DR. BASHAW: Thank you, Dr. Venitz,
3 committee members, and the audience today for
4 coming to hear us debate and discuss different ways
5 we can apply clinical pharmacology tools.

6 Certainly, I am going to be presenting a decision
7 tree today, but also I'm going to talk about some
8 of the informational issues we go through on the
9 new drug side.

10 Just like all typical FDA talks, I have my
11 official disclaimer here because I will be
12 presenting some data here today but will also be
13 presenting opinion, thoughts on where we should be
14 going. You have the slides, the committee member,
15 in front of you. They are rather detailed. I want
16 to assure you I'm not going to read the slides to
17 you; they are detailed for your reference, and
18 also, I believe, will be available to the audience
19 on the FDA website.

20 Dr. Cote has already given us a nice
21 introduction to the Orphan Drug Act. The only real
22 issue I'd like to bring up here, of course -- he

1 has already talked about the incentives -- is the
2 Orphan Drug Act does not comment on the issue of
3 informational needs. It does not provide for a
4 lower regulatory standard.

5 Now, Dr. Cote has indicated that the review
6 side is very monk-like and dispassionate. I will
7 try to overcome that in my personal presentation
8 today because, of course, those people who know me
9 know I am very monk-like in my nature. So we will
10 move forward here.

11 But no, it does not address informational
12 need. That is probably one thing it would have
13 been nice if it did, but it doesn't. And I'm going
14 to really focus on that somewhat. What are the
15 informational considerations here?

16 Certainly we have provided here some
17 estimates of orphan populations. If you look at
18 JRA, which has been discussed already briefly this
19 morning, right at 150,000. Pompe disease, which we
20 have some effective treatment, we have some gene --
21 sorry, we have some treatments now for it, about
22 7300. N-acetylglutamate synthase, NAGS deficiency,

1 less than 250. It's very rare. It's a Krebs
2 cycle. I will talk about that a little bit more.
3 We approved a drug last year, Carbaglu, for that.

4 Really, the informational considerations,
5 some of the things we need to think about as we
6 deploy clinical pharmacology tools -- and in my
7 presentation today and in the presentation that
8 follows by Dr. Garnett, we're going to talk about
9 how these tools can be used and how we can really
10 use clinical pharmacology to advance this area.

11 Certainly, if we can use healthy subject
12 data to define the pharmacodynamics, to define the
13 pharmacokinetics, that's very helpful in
14 development, but that's limited, of course, by drug
15 toxicity. Obviously, for a lot of the drugs we
16 develop for orphan indications for oncology, you
17 really can't give those to healthy subjects. Maybe
18 in micro-doses you could, but what can we learn
19 from that information?

20 Of course there is the issue of how do you
21 deal with special populations, orphan drugs
22 themselves, orphan diseases, represent special

1 populations. But within that, you know, people may
2 have a deficiency, but then that leads to renal
3 failure. That leads to hepatic insufficiency.
4 You've got that overlaying the initial stages of
5 the orphan disease that progresses that we have to
6 think about.

7 Let's think back. We talked about the
8 beginning of the Orphan Drug Act, 1983-1984. Let's
9 look back at clinical pharmacology. I dare say
10 most of in this room were just getting involved in
11 clinical pharmacology there. I came to the FDA in
12 1987, so I can speak clearly from that. 1987, we
13 had five IBM PC XTs in the division. We had five.
14 We were still using graph paper. We were still
15 doing hand calculation, for the most part.

16 Today, you know, that seems very quaint.
17 But that's the way it was, and that's the time
18 frame when the Orphan Drug Act was first put in
19 place. And you look at the revolution we've come
20 through in clinical pharmacology, from using two-
21 cycle graph paper to using supercomputing to using
22 really advanced mathematical and computational

1 techniques. It's really quite dramatic.

2 If you look back at the original approvals,
3 there were 27 orphan approvals between 1983 and
4 1987. Classification back then, we had 4 BLAs and
5 23 NDAs. You can see how it broke out. And back
6 then, we didn't have the idea of a standard review
7 clock and a priority review clock. But if you took
8 today's standard to say, well, six-month approval
9 versus ten-month or more-than-six-month approval,
10 this is how it would break down. You had 6 that
11 were standard review and 17 that were priority
12 review.

13 The difference, of course, for those in the
14 audience who don't know what I'm talking about
15 there, in the FDA process now, your NDA is
16 classified as a standard or a priority. A standard
17 review clock at the FDA is a ten-month review
18 clock. A priority review is a six-month review
19 clock. So that's what we have. If we look back in
20 1983 with 2011 standards, that's how it broke out
21 and how we handled the drugs back then.

22 Today, computing is quite a bit better. The

1 FDA has launched a computational science center
2 whose mission is to support CDER in continually
3 improving optimal drug evaluation to using more
4 advanced, physiologically-based pharmacokinetic
5 modeling, to do more pharmacodynamic modeling, to
6 look at the pharmacometric aspects of drug disease,
7 these models we're trying to develop to look at
8 more informed ways to do drug development.

9 The days of taking thousands of patients and
10 giving the drug and see what happens, really, we
11 can't afford it these days. We've got to --
12 there's an old metaphor, work smarter, not harder.
13 Well, we need to be both smarter and work harder.
14 We've got to do better and got to do more.

15 The center and the agency have put a lot of
16 effort and a lot of money into setting it up. This
17 is something we're bringing online now. It's been
18 online for I guess about two years it's been
19 around. And we're certainly doing more and more
20 with it, trying to be a resource so we can do this
21 kind of work at the FDA. Right now the Office of
22 Clinical Pharmacology, I believe, is about 140

1 people. And certainly we're trying to harness our
2 knowledge base better and better and use the
3 improved techniques.

4 Recently you can see, certainly, it is about
5 the same. We've got 36 approvals in this time
6 frame, and they represent 30 indications. Six
7 indications had two approvals. They had two
8 different drugs approved for the indication.
9 Novartis and Genzyme both led the pack with three
10 approvals each in this period.

11 You can see BLAs now, since 1983, they have
12 certainly increased. As we get a better and better
13 understanding, as our knowledge of disease state
14 improves, we get these very targeted gene
15 therapies. We get targeted biologic therapies.
16 We're seeing a higher and higher proportion of
17 those come into the system.

18 NDAs, still, we have a lot of priority
19 reviews still. Standard review, these are simpler
20 applications. These are sometimes -- these are
21 repurposing to a degree. These are ones for lesser
22 indications, but still they represent an orphan

1 population.

2 We'll hope to later this year break these
3 numbers down a little bit more. We're starting to
4 work on some internal publications on this, trying
5 to look at what we've been doing and trying to
6 address this issue. And my talk is going to
7 primarily talk about informational need, and then
8 how we can use decision tree to try to help bring
9 the community into this.

10 But again, let's take one last look back at
11 the past and compare, then and now, where the
12 therapeutic areas were. And one thing you can
13 see -- initially GI and endocrine and repro-uro led
14 the pack. You primarily had -- these were your
15 inborn errors of metabolism, and still today, 2011,
16 that's still about 15, 17 percent.

17 What you really see a change here is
18 oncology. Oncology represents 33 percent of the
19 orphan approvals that we have at the FDA now.
20 We've certainly seen a lot better understanding of
21 tumor mechanisms, a lot better use of the targeted
22 therapies.

1 To go back to the question from the audience
2 that Dr. Cote handled regarding drugs like for
3 AIDS, if you actually look here on this slide, you
4 see 7 percent of the approvals back then were
5 antivirals. Actually, that represents two drugs,
6 if you do the math through. One of them was AZT,
7 and other was DDI. So it's an example where, yes,
8 at the time, this is the time frame where AIDS was
9 just an orphan drug -- sorry, it was an orphan
10 disease, a rare disease, and we were developing
11 therapies for it. And now they are over here. The
12 antivirals are over here in this area; they're in
13 the "Others." It certainly has changed over time,
14 but not unsurprisingly, the GI inborn error of
15 metabolism is still a very predominate area, along
16 with oncology.

17 Part of our project, what we've done, is
18 we've gone back and looked at what was in the NDAS,
19 what was in the products. Now, I'm focusing here
20 on the clinical pharmacology studies. So for the
21 applications -- and I see this was not the updated
22 slide because it's got a math error here;

1 obviously, that's not 17, obviously. This was
2 corrected. We didn't get the corrected slide set
3 here. I'm sorry about that.

4 But, basically, you can see the class for
5 this. For the priority NDAs, we had some
6 radiolabeled studies, single-dose and multiple-
7 dose. This includes both patients and healthy
8 volunteers. For the dimensions of the slide here,
9 I couldn't break it down into all the categories.

10 One thing that people -- when they look at
11 this slide, they get really -- oops, this is not --
12 there was supposed to have been something here.
13 Sorry. The other column here, people see it and
14 they say, "Oh, my goodness; you've got 234 other
15 studies. What are these?"

16 This is analytical reports. This is in
17 vitro protein binding studies. This is in vitro
18 drug-drug interaction studies. These are things
19 that -- these are what the reviewer had to look at.
20 This is the informational need. It doesn't
21 represent a couple thousand more patients lurking
22 out here. What I was focusing on when I made this

1 table was looking at what was the informational
2 data set that the reviewer had to look at for those
3 applications.

4 So, roughly, we would say for the 18
5 priority NDAs that came in, there were 259, so you
6 could say there was roughly about 15 to 20-some-odd
7 studies per application. But that included in
8 vitro studies, analytical reports, single-dose,
9 multiple-dose trials, et cetera, et cetera.

10 So there was quite a bit of information.
11 But, again, it all varied because we have 18 NDAs.
12 We only have four QT studies. Obviously, we do
13 have some differing informational needs. FDA does
14 have flexibility. People view the FDA oftentimes
15 as a lockstep agency or a checkbox agency. You've
16 got to have a QT study. You've got to have a renal
17 study. You've got to have an hepatic study.
18 You've got to have this. The FDA does have
19 flexibility, looking at the population, looking at
20 the informational need to meet the safety and
21 efficacy.

22 We do have that flexibility, and we

1 encourage sponsors to come in and talk to us
2 because we can't be flexible after the fact. But
3 if you come in and discuss your program with us, we
4 can help you define a better, more rational
5 approach. So we do have flexibility here, and
6 that's what this slide is trying to show with the
7 fact that we aren't really keying on these studies
8 so much here. We are keying on over here, where
9 we're trying to see if we can get a mechanism, if
10 we can get some safety information, we can get the
11 efficacy information we need.

12 The title of my talk today was "A Straw Man"
13 because we're putting out -- I think all of us in
14 this room or around the table, if we sat down and
15 considered orphan drug development, we'd come to
16 the same models we're going to talk about today.
17 But we just never had that conversation.

18 One of the things we wanted to do by having
19 the AC meeting here today, especially with the
20 ASCPT, where we have developers, we have
21 researchers, we have patient groups out in the
22 audience, is to bring this discussion to them as

1 well.

2 So we're going to talk about a pathway here.
3 Some of this may be, "of course we know this." "Oh,
4 I've already known that." I understand that. But
5 this is for everybody in the room to hear to try to
6 broaden our experience here.

7 We really believe that the two paradigms
8 that we would like to propose for our straw man are
9 really based on the pediatric oncology experience.
10 Pediatrics, as you know, through PREA and the
11 pediatric rule and other aspects of drug
12 development, has been very successful in its own
13 right, where you take information that you've
14 learned from the adult subjects -- you've defined
15 the basic PK, you've done the drug-drug interaction
16 studies, you've done the metabolism studies -- and
17 now you've got a pediatric population that you can
18 then go into and get the drug approved for it and
19 help those patients. Very successful.

20 Oncologic. Very much so, the same way.
21 You're using animal studies. You're using a lot of
22 in vitro mechanism studies. You have to do it in

1 patients, most usually. You can't do it in
2 subjects; so, again, trying to use a combination of
3 these other pieces of information. Maybe there's
4 not that pivotal dose-ranging trial of 40 subjects
5 and escalating dose, but you've got some small
6 proof of principle studies. You've got some animal
7 data. You've got some in vitro cell data that, all
8 together, gives you your world view that you can
9 move forward with.

10 Repurposing has been touched on today.
11 Sildenafil, I think, is the classic example that we
12 use, where you've taken a drug that's been approved
13 for an entirely different indication -- not like
14 you're taking ibuprofen, which is approved for
15 arthritis, and then getting it approved for JRA,
16 which is very much -- it's not the same disease as
17 in kids, but there's very similar elements to it.

18 But sildenafil, of course, a
19 phosphodiesterase-5 inhibitor, you know, erectile
20 dysfunction, late night TV. But pulmonary arterial
21 hypertension, it just so happens the receptors are
22 there also in the coronary bed, that we can use

1 this drug, and it's a wholly different purpose.
2 It's not a related thing. It's related in that
3 it's the same mechanism, but it's a very different
4 disease system.

5 Again, it's the use of knowledge related to
6 disease drug mechanisms to identify candidates at
7 different stages in development, as much as
8 Dr. Mundel talked about using the knowledge you've
9 gained maybe in a larger population and realizing
10 that there's some mechanistic understanding, or
11 Dr. Cote talked about the fact that we've learned a
12 lot of our basic science from these rare
13 populations. Again, learning the information --
14 taking the information we already have and applying
15 it in a new way is core repurposing.

16 A small commercial I will make now for FDA
17 and the Office of Orphan Drug Products is that they
18 have launched -- there's a beta version available
19 now on the Web of the rare disease repurposing
20 database, where you can go to the database and you
21 can search under disease category. You can search
22 it to see, well, what is being developed for maple

1 syrup urine disease, which was the first rare
2 disease I ever came into experience with back when
3 I was in training. You can go to it, and you can
4 see what's available there. And it's a
5 reconfiguration and cross-referencing of FDA-
6 released information that's available to look for
7 opportunities.

8 Say you're a researcher and you're working
9 on Muckle-Wells or you're working in cystic
10 fibrosis and you want to see, well, what else has
11 been tried? You can go there and see where we have
12 made orphan designations, or as Dr. Cote's brought
13 up, it's promising. There has been promise there.

14 Let's try to make that information available
15 out to the community, out to the researcher,
16 because it may show where a dead end has been. It
17 may show where there's a potential area for
18 opportunity and cross-fertilization.

19 So I'm making a commercial here for the
20 database and for its use, because I think, again,
21 this is all trying to harness clinical pharmacology
22 tools and clinical pharmacology information.

1 Decision tree, I promised you one, only 15
2 slides into it. We got to it. Basically, we've
3 broken it down into the new molecular entity and
4 the repurposed, which either can be a 505(b)(2),
5 for those people who are familiar with the
6 language, or, more classically, an NDA supplement.

7 We would view that. Of course, for this
8 purpose over here, we would follow the repurposing.
9 We'd follow the pediatric strategy, in that we
10 already have a lot of clinical pharmacology
11 information; why not harness that information?

12 Again, repurposing, as I said before,
13 already approved for use in a pediatric model, in
14 adult population. We already know the basic PK.
15 We already can borrow all this information. For
16 orphan disease, what's our informational need?
17 That efficacy relationship in the population needs
18 to be established.

19 Is there some special safety issue about the
20 targeted population that needs to be thought about,
21 that needs to be addressed? Is there a biomarker
22 qualification or development we can use to help

1 verify the pharmacodynamics? This is where, say,
2 at the FDA we could use our computational science,
3 trying to look at some of these things, trying,
4 again, to move the tools and the science forward.

5 Again, my example, which we've talked about
6 already, is sildenafil. If you look back at its
7 original approval for erectile dysfunction -- the
8 clinical pharmacology section only; I'm not talking
9 about the clinical database at all, I'm speaking
10 today only about clinical pharmacology -- there
11 were 676 patients. That's a very robust clinical
12 pharmacology development program. You've got 82
13 patients in drug-drug interactions, 228 in single-
14 dose, multiple-dose, and dose escalation studies.
15 You do have some dynamic studies. This obviously
16 had patients in it, but very much a very robust
17 program.

18 We look at the repurposing example for
19 idiopathic pulmonary hypertension. Again, we've
20 already talked about that it's a rare disease. It
21 was approved in 2005. And if you look at the
22 database there, the clinical pharmacology section,

1 there are 15 postmarketing study reports, primarily
2 DDIs and -- you know, we take the opportunity to
3 update the label with information that's appeared
4 in the literature.

5 There were three studies in patients with
6 PAH, and one DDI study with bosentan. A total of
7 230 patients were studied in the clinical
8 pharmacology portion; still a very robust program.
9 Some of it did have healthy subjects in it, but
10 there were also PAH subjects in there as well.
11 But, still, if we think about it, that's one-third
12 of what was studied for the original approval.

13 So, again, it shows that with repurposing,
14 you can use a much larger -- you can target what
15 you're looking at. And, again, a lot of it was
16 postmarketing. And this would be the kind of
17 things, when you saw my earlier table that said
18 "Other." This is the kind of things that would be
19 in the "Other" category, articles, journals, et
20 cetera.

21 But let's talk about the new molecular
22 entity side. Let's talk about a drug that we only

1 give in patients only. Well, that's going to have
2 to be an oncology model, most definitely.
3 Basically, we get our basic clinical pharmacology.
4 We'd want to see -- since we don't have previous
5 information, we would need some mass balance.

6 We would be able, however, unlike a lot of
7 times, to use animal models. It's very established
8 in oncology that animal models can be used to do
9 studies you can't do in healthy subjects, you can't
10 do in patients.

11 Basically, we like to work on biomarker
12 development, just like we would for any oncology
13 drug. Get the pharmacokinetics in the patients
14 with the population-based tools. Special
15 populations within the orphan population -- again,
16 within any rare disease, there's going to be a
17 spectrum. There are going to be patients who,
18 they've got a bit lesser disease, they have longer
19 time to develop the ravages, the renal function,
20 the pulmonary insufficiencies, whatever, with the
21 disease state.

22 We need to also think about prioritizing

1 drug-drug interaction studies based on mechanism.
2 And, again, we recognize that the Orphan Drug Act
3 does not allow us to have a lesser standard. But
4 for us to come out here and say, well, you're going
5 to have to do 30 studies, you're going to have to
6 do 40, you're going to have to have 700 patients
7 before you can -- that's not appropriate. We're
8 not going to do that. We're going to look at what
9 the need is.

10 I think this is a classic example here,
11 Carbaglu. Carbaglu was approved last year for NAGS
12 deficiency. What is the prevalence? That's a very
13 interesting question. Estimates anywhere,
14 worldwide, to a thousand to 200. Probably, in the
15 U.S., maybe 50, because it's such a very specific
16 defect.

17 The clinical pharmacology section, we had a
18 total of 38 subjects. And, actually, some of the
19 subjects were in both trials, but I put the numbers
20 here just to show you what was in the clinical
21 pharmacology section. We have to do with the
22 information we have. There's no point in doing a

1 thousand patient studies here when there's not
2 worldwide.

3 It does, however, require you to have to
4 think about it a little bit. There's a bit of a
5 paradox here, because people would say, well, you
6 approved that drug with only data from 38 subjects.
7 Yes, but that was actually a very high percentage
8 of the population. Maybe that was 50 percent of
9 the total population in the U.S.

10 Think about hypertension. If we were to
11 study 50 percent of the hypertensives in the United
12 States, I mean, you'd be talking millions, hundreds
13 of millions of subjects; probably most of the
14 people in this room would be eligible for those
15 studies.

16 So actually, while we don't have, in terms
17 of a volume of information that we have for a,
18 let's say, hypertensive or an analgesic, in terms
19 of the percentage of information and understanding
20 the drug in the target population, we actually know
21 quite a bit. But, again, we're going to have to
22 accept that we just can't do studies or as many as

1 we'd like. But it's actually sort of a paradox
2 here, to get your mind around a little bit, and the
3 first time I thought about that, it was very
4 strange. But then you do have new molecular
5 entities that you can give to healthy subjects.
6 You can give lower doses, or the disease state is
7 such that you can give it and you don't really have
8 a problem. You can get away with it.

9 Here we would do a very much more standard
10 development program. We would try to use as much
11 healthy subject data as we could, special
12 populations, DDIs, again, prioritizing all this
13 based on the population and based on the need,
14 trying to just think about going into it, what
15 would an appropriate plan be?

16 What's an appropriate informational need in
17 terms of what's the kind of information, the
18 quality of information, that we would need for
19 making appropriate clinical pharmacology decisions,
20 dose ranging, dosing; interval between doses, as in
21 Dr. Mundel's experience, how much time between
22 doses is a function of remission. You know, if you

1 gave this much dose, you could have remission for
2 180 days, this much 150 days, this much 210.
3 Finding out what's the optimal dose-flare ratio was
4 very important with that drug.

5 So Argatroban is a good example, used for
6 HIT, heparin-induced thrombocytopenia. Again, you
7 could do this study in healthy subjects. You could
8 certainly -- you'd have to be careful with the
9 dosing and watch what's going on and everything.
10 But it had 293 patients in their clinical
11 pharmacology studies. Certainly, in the clinical
12 studies, they had many more subjects. But again,
13 this represents -- Carbaglu, you had 38 subjects.
14 This one, you had 300, roughly.

15 Certainly different informational needs by
16 the population. Carbaglu, a very small population.
17 HIT, it's very unsure what the actual population
18 actually is. We're not really sure. It's hard to
19 get a prevalence on that. But definitely an orphan
20 disease -- sorry, a rare disease/orphan drug
21 combination.

22 So coming here today, part of my

1 presentation and the presentation following after
2 me, Dr. Garnett, it is a question of quo vadis.
3 Where are we going? The Orphan Drug Act has had a
4 major influence, as previous speakers have talked
5 about. But I really view it, and we all should
6 view it, is while we've had successes, it's very
7 much like an iceberg. We have 362 approvals.
8 That's really good. But we have 7,000 orphan
9 diseases. I mean, there's a lot underneath the
10 water line there. There's a lot more that needs to
11 be done.

12 We can continue to go on and do it the old-
13 fashioned way and just do study after study after
14 study, but I think it's no longer 1983. We have
15 advanced tools. We have better modeling. We have
16 better therapies. We have better markers to look
17 at and we can develop.

18 The FDA has certainly -- I can speak from
19 example because I've been at the agency that
20 long -- improved capacity for data analysis. But
21 we also recognize that much more can be done. And
22 that's what the challenge here today, to the panel

1 is and to the audience as well. And I would also
2 like to make a plug.

3 On Friday, and I believe it is Landmark C,
4 there's going to be another session on orphan and
5 rare disease development at the FDA and NIH, which
6 is going to be chaired by Dr. Ahn, who I see out in
7 the audience. Please, if you're staying for the
8 full meeting, come to that session as well and take
9 part in that discussion, such that we can move it
10 forward.

11 Certainly, we think these models -- again,
12 there's nothing secret. I mean, oncologic model,
13 pediatric models, people have written about them.
14 We all understand them. But we're asking you to
15 think about it not in the context of developing the
16 next drug for pediatric analgesia, not the next
17 drug for a pediatric tumor, but think about using
18 those kinds of approaches to the developing of a
19 drug for a rare disease/orphan drug population, to
20 think about using those similar approaches to spur
21 people forward.

22 The straw man today, there's nothing magical

1 about it. It's only I think 11 boxes. But, again,
2 the whole purpose of it was to stimulate
3 discussion. And with that note, I'd like to end my
4 talk and take any clarifying questions. Thank you.

5 [Applause.]

6 DR. VENITZ: Any clarifying questions for
7 Dr. Bashaw? Dr. Cloyd?

8 DR. CLOYD: A comment and a question. The
9 data you presented regarding the relatively modest
10 number of subjects and patients involved in orphan
11 drug development is encouraging, and I would urge
12 you to make that widely available, particularly for
13 small companies and academic groups that are
14 contemplating orphan drug development. Your thesis
15 here is the mountain isn't quite as high as many
16 might believe.

17 The question, and this might also require
18 Dr. Cote to comment, one obvious use of the rare
19 disease repurposing database is to find, if you
20 will, the abandoned orphans, that is to say, the
21 compounds that have an orphan designation but for
22 which development seems to have lapsed. And could

1 that development be picked up by someone else other
2 than the original sponsor?

3 DR. BASHAW: Well, I think you're -- I'll
4 speak to the first point definitely. The second
5 point I will start to address, and I will defer to
6 Dr. Cote, as he is more familiar with it.

7 You're exactly right. We have started, and
8 actually I've been going through the reviews, which
9 is a very time-consuming process on top of my
10 normal job, looking at these numbers and what's in
11 there, trying to see what we've actually been
12 doing. Because people are always saying, what does
13 a successful program look like?

14 Well, it looks like lots of things. I mean,
15 you can always say it's the Casablanca standard;
16 you know it when you see it. But that's not
17 helpful to the population. That's a very flip
18 answer.

19 So we do have in mind -- and I think I
20 referred to it in my talk. We are in the process
21 of going through it from a clinical pharmacology-
22 specific aspect and doing the math, working it out.

1 And we are planning on putting together a
2 publication later to more disseminate this
3 information.

4 That was also the purpose of having the
5 discussion here today at the AC meeting, and also,
6 unlike last year, spending the entire day on this
7 issue because we saw it as a very important issue
8 that needed to get the insight from you and also
9 the researchers in more discussion throughout the
10 meeting.

11 As for the repurposing database, you're
12 exactly right. I mean, there may have been drugs
13 that had an orphan designation that, for lots of
14 reasons, the company changed the focus. A company
15 was bought and it didn't fit in the new business
16 plan. I mean, there are all sorts of reasons why
17 drugs don't get developed. We all know that.

18 The aspects of going to the database, if
19 you're looking from a disease standpoint, which you
20 can search it, and you come up with 20 drugs for
21 Duchenne's muscular dystrophy, see the ones that
22 weren't developed and try to pursue that.

1 Dr. Cote, would you like to pick that up,
2 please?

3 DR. COTE: Sure. Thanks so much for asking.
4 Yes. We have 160 or so products that have received
5 approval for a common disease indication that also
6 have an orphan status designation, indication, up
7 in that orphan -- RDRD, the Rare Disease
8 Repurposing Database.

9 The biggest challenge is economic. A new
10 rare disease indication will add almost a
11 completely insignificant amount of new sales to a
12 common disease indication. And there's a
13 perception, which is unfounded, that it increases a
14 sponsor's exposure to risk for new adverse events
15 in doing additional clinical trials in a rare
16 disease space.

17 Now, I have it on very good word from John
18 Jenkins that that has never happened, that that has
19 not led to any problems in the past, and that
20 additional clinical trials for rare disease
21 indications are very much encouraged, particularly
22 for this repurposing, where the fruit is hanging so

1 very low. You've got something that's already
2 approved. You know its toxicities. You know that
3 it's effective in some clinical setting. We're
4 just trying to retool it into something new.

5 To that end, recognizing that there are
6 economic impediments, we have tried to do something
7 with the public sector. We're working very closely
8 with TRND right now, NIH's new initiative for
9 rescuing abandoned orphans from their valley of
10 death. And we are actually sharing commercially
11 confidential information at FDA with NIH, under the
12 rubric of specific memorandums of understanding, to
13 better go through our FDA records to see which of
14 these might be restarted, perhaps in the context of
15 a clinical trial at the NIH Clinical Center.

16 So we are indeed working on that. Thank you
17 so much for asking.

18 DR. VENITZ: I think we are deferring to the
19 major discussion. Thank you, Dr. Bashaw, and thank
20 you, Dr. Cote.

21 Our next speaker, and our last speaker
22 before we take a break, is Dr. Garnett. She will

1 review clinical pharmacology tools in rare
2 diseases.

3 **Presentation - Christine Garnett**

4 DR. GARNETT: Good morning. So my talk is
5 going to build on what you just heard from
6 Dr. Bashaw, and it's going to really focus on the
7 clinical pharmacology tools that we could use for
8 rare diseases.

9 So to address this topic, the way we
10 approached it was first we wanted to go back and
11 learn from our past experiences with applied
12 quantitative approaches that had a direct impact on
13 regulatory decisions.

14 So my first slide shows four cases where
15 we've had success in applying quantitative tools
16 that had a direct impact on regulatory decision.
17 The first case is with Argatroban. Argatroban is
18 approved in adults for HIT, and we wanted to be
19 able to get an optimal dosing regimen for
20 pediatrics. And to do that, what we did was we
21 used both the adult and pediatric data. We
22 combined them and used a PK/PD analysis to come up

1 with the derived dosing regimen. And that model-
2 based dosing regimen is what was approved and is in
3 the current label for Argatroban for pediatric use.

4 My second example that we've had successful
5 use of quantitative methods is with tetrabenazine.
6 Tetrabenazine is approved for Huntington's chorea.
7 And in this program, what we did was we used
8 exposure-response analysis as supporting evidence
9 for effectiveness to support a single clinical
10 trial. We also used the modeling, the PK modeling,
11 to come up with a dosing regimen for patients who
12 are 2D6 poor metabolizers.

13 My third case is levofloxacin.
14 Levofloxacin, what we did was for pediatrics, and
15 the indication we were looking for was post-
16 inhalation anthrax exposure, where we can't really
17 do clinical trials in this disease area. So what
18 we did for pediatrics is we did PK simulations to
19 match the exposures in pediatrics to that of adults
20 for levofloxacin. And that was what is in the
21 product label.

22 My fourth case is with sildenafil. This

1 is sildenafil IV. And in this case, we used
2 physiological-based PK modeling, or PBPK, and this
3 was used to alleviate the need for conducting
4 another drug-drug interaction for the IV
5 formulation.

6 So we now have to think about how can we use
7 these tools in development programs for rare
8 diseases. So in this slide, I'm just showing a
9 schematic of the development process, from basic
10 research through clinical development to all the
11 way through postmarketing. And we can think about
12 what kind of decisions we're making during the
13 development process. So in the beginning, we're
14 thinking about target identification, candidate
15 drug selection. And then we move into
16 understanding the ADME of the drug as well as
17 understanding proof of concept, and then looking at
18 efficacy and safety.

19 So the idea is, how can we apply these
20 quantitative methods to inform the decisions? And
21 especially unlike conventional drug programs, the
22 challenge we have for development programs for rare

1 diseases is we have limited resources. So we
2 really want to use these tools to help with the
3 decision process.

4 So the first thing we can think about is the
5 FDA process. The process facilitates interactions
6 with sponsors, and recently we have included a new
7 meeting that we can sit down with the sponsors and
8 discuss quantitative tools, and that's with the end
9 of phase 2A meeting, and that's specifically
10 designated to talk about the use of quantitative
11 methods.

12 So we think about the tools. They could be
13 very mechanistic in nature or very empirical. But
14 the idea is to use these quantitative approaches in
15 combination with innovative trial designs so that
16 we can answer questions about safety and efficacy
17 during the development program. But, also, we also
18 want to avoid late clinical trial failures because
19 we just don't have sufficient resources in this
20 type of development program to repeat studies. So
21 we're trying to do two things, avoid late clinical
22 trial failures as well as gain enough information

1 so the regulatory agencies feel comfortable with
2 benefit-risk decisions.

3 So what I'm going to do for the remainder of
4 my talk is I'm going to focus in on these
5 quantitative tools, and I've pretty much
6 categorized them in three categories. The first
7 one is innovative analyses, then I have innovative
8 designs, and knowledge management. And what I'm
9 going to do is talk about each one of them in a
10 little bit more detail and provide an example, a
11 recent example, that we have used that tool as
12 applied to a development program for rare disease.

13 So innovative analyses, these mainly are the
14 exposure-response analyses for benefit-risk
15 decisions as well as dose selection. And even
16 though in the Division of Pharmacometrics we
17 routinely do this type of analysis during our NDA
18 and BLA reviews, but they are considered pretty
19 innovative and a different way of looking at the
20 effectiveness and safety data.

21 We could also think of innovative analyses
22 as being these disease/drug trial models to gain

1 insights into biomarkers and clinical outcomes.
2 And we've published a couple examples of that, such
3 as with our non-small-cell lung cancer models as
4 well as our Parkinson's disease models. So this
5 would also fall under the class of innovative
6 analyses.

7 We could also think about streamlining the
8 clinical pharmacology package for development
9 programs for rare diseases by prioritizing the drug
10 interaction studies using both in vitro and PBPK
11 modeling, and then we could also think about using
12 more population-based PK approaches to
13 understanding the intrinsic and extrinsic factors.
14 And this is typically what we use for oncology
15 drugs when we can't give those drugs to healthy
16 volunteers. So the only way we're going to
17 understanding the intrinsic and extrinsic factors
18 is to evaluate it directly in the patients and
19 correct PK in patients, especially in the late-
20 phase trials.

21 So my first example that I'd like to go over
22 is that of everolimus for SEGA. And I have to

1 thank Dr. Mundel, who's already laid the groundwork
2 for the mechanism of the use of everolimus for this
3 rare disease. And so I guess for my turn I'll just
4 give the regulatory perspective.

5 So everolimus, we did consider -- if you
6 think of Dr. Bashaw's decision tree, this is a
7 repurposed drug. Everolimus was previously
8 approved for renal cell carcinoma at a fixed dose
9 of 10 milligrams. It's also previously approved
10 for organ prophylaxis of kidney transplant at dose,
11 as well as a therapeutic drug monitoring type of
12 approach.

13 So the regulatory pathway for SEGA was a
14 single clinical trial with 28 patients. And
15 because it is repurposed drug, and we knew -- so we
16 could rely on other indications for the clinical
17 pharmacology information, the package for SEGA
18 didn't include any new clinical pharmacology
19 studies.

20 So the dosing was based on therapeutic drug
21 monitoring, and the rationale for that was is
22 there's a related mTOR inhibitor called rapamycin,

1 and there are some previously published reports
2 that showed that rapamycin seemed to have some
3 activity with SEGA tumors at the immunosuppressant
4 concentrations. So then we could use the same
5 immunosuppressant concentration range to target the
6 dose for everolimus, and that's what they did in
7 the phase -- I guess the single-arm trial.

8 So the tool that was used for the regulatory
9 decision -- and in this case the regulatory
10 decision was accelerated approval -- was exposure-
11 response analysis. And we used that to demonstrate
12 antitumor activity in a single-arm trial; in this
13 case, we don't have controls. And we also used it
14 to justify the therapeutic target range for TDM.

15 So this slide just shows the exposure-
16 response analysis. As you can see, it's very
17 simple. The Y axis is the percent reduction in
18 tumor volume, and this is measured by MRI. And I'd
19 like to say this is the first time the FDA has
20 approved of a volumetric biomarker for an
21 indication.

22 The X axis is the steady-state Cmin. It's

1 the observed trough concentrations. And as you
2 could see, as you increase the exposures, as
3 exposures increase, you're getting a further
4 reduction in the tumor volume. And the minimum
5 clinical effect size was considered to be a 30
6 percent reduction in tumor volume.

7 What this also showed is that when the
8 patients' concentrations were within this defined
9 target range, that we weren't getting a further
10 increase in the reduction in the tumor volume. So
11 it also supported the therapeutic range for TDM.

12 So if you think about going back to
13 Dr. Bashaw's decision tree, this is for a
14 repurposed drug. We were allowed to borrow -- we
15 borrowed quite a bit of information from the
16 previous indications, especially the clinical
17 pharmacology. They allowed the sponsor to come up
18 with a dose for the treatment of patients for SEGA.
19 But, also, because the exposures in the patients
20 with SEGA were comparable to the exposures in the
21 other indications, we could also borrow quite a bit
22 of the safety information. So it pretty much

1 streamlined the package for SEGA.

2 So my next category of innovative tools is
3 innovative designs. And what I mean by that, we
4 can think of the first one as making better use of
5 enrichment designs in trials that are being used to
6 look for drugs for rare diseases. So for
7 enrichment, we can think in better uses of targets
8 of genetic biomarkers that allow us to maximize the
9 signal in clinical trials with small numbers of
10 patients.

11 We could also think of making better use of
12 crossover designs for these to show proof of
13 efficacy. And this would be not good because in a
14 crossover design, it controls within-subject
15 variability. So we are able to detect effects with
16 smaller numbers of patients.

17 We could also think about using dose
18 response as the control instead of historical
19 controls. And we could think about more using
20 approaches for adaptive dosing and adaptive sample
21 size, and this is going to be the focus of my
22 second case and how we did that.

1 Finally, what I'd really like to see is more
2 use of clinical trial simulation because clinical
3 trial simulation will allow looking at the design,
4 putting quantitative methods, models, around the
5 assumptions about the pharmacology of the drug,
6 about the PK/PD relationships, about what you think
7 the design should look like. Simulate that design
8 and see if your assumptions actually come true.
9 And this will allow us to optimize the design, do a
10 better dose selection, and maximize the power.

11 So this is going to be the focus of my
12 second case. My second case is a blinded case.
13 I'm just going to call the drug NuDrug. And NuDrug
14 is being developed for a rare disease, and the
15 prevalence of this rare disease was less than 500
16 patients in the United States.

17 NuDrug is not an NME. It's actually a new
18 formulation of a reference product. And the
19 clinical development program for this new drug was
20 a pilot dose-ranging PK/PD study in 9 patients.
21 And then based on the data from the 9 patients, the
22 sponsor wanted to come in with the pivotal phase 3

1 study. And that study, what they proposed was to
2 use approximately 30 patients. They were going to
3 use a crossover design. The crossover was going to
4 be with the reference product. And they wanted to
5 use both adaptive dosing and adaptive sample size.

6 The way they were thinking about that is at
7 an interim look at the study, they were going to
8 say, well, is the variability estimates that we
9 based our power calculations, is that what we're
10 seeing, or if it's more variability, then they were
11 going to recruit more patients into that trial.

12 Then for dosing, what they're going to think
13 about is at that interim look in the study, are the
14 patients meeting their pharmacodynamic target? And
15 if they're not, they proposed increasing the dose
16 20 percent.

17 Now, for the endpoint, the endpoint is a
18 biochemical biomarker. It's on the causal path of
19 the disease, and the clinical colleagues felt very
20 comfortable using that biochemical marker as the
21 primary endpoint.

22 So the tool used for the regulatory

1 decision, in this case, the regulatory decision was
2 the SPA or the Special Protocol Assessment. We
3 used clinical trial simulation based on the data
4 obtained in that 9-patient PK/PD study to assess
5 both the dose selection as well as the size of the
6 study.

7 So what we did is, again, the sponsor
8 proposed at that interim look that if the patients
9 aren't meeting their PD target for efficacy, that
10 they were going to increase the dose by 20 percent.
11 And given what we knew about the 9-patient PK/PD
12 study, as well as we knew about the reference
13 product, we didn't know intuitively that the
14 20 percent would really do much.

15 So what we did was, through simulations, we
16 looked at a 50 percent dose increase for patients
17 who weren't in their PD target. And we couldn't go
18 much further than that 50 percent because we really
19 didn't want to increase the exposures more than the
20 Cmax of the reference product so we could actually
21 borrow the safety data. So we were kind of limited
22 on how much dose increase we could give. And then

1 in part of the simulations, we also looked at no
2 dose increase.

3 So this is a result of the clinical trial
4 simulation. We simulated 200 trials. And what we
5 see here is that what the sponsor proposed is that
6 20 percent dose increase, that it only had about
7 25 percent of the patients reaching that PD target.
8 However, with a 50 percent increase, is what the
9 FDA was recommending, is that over 45 percent of
10 patients would then reach their PD target. And
11 those, again, is at the interim look for patients
12 who weren't already there. So this is an
13 additional 45 percent of patients who would get
14 their target.

15 Again, in thinking about the sample size
16 with 30 patients, the clinical trial simulation
17 also showed that with the 50 percent dose increase,
18 which is what the FDA was recommending, over
19 95 percent of the trials with 30 patients would
20 meet that primary endpoint, would be considered
21 successful.

22 So what we did was we made this

1 recommendation to the sponsor, and the sponsor
2 agreed to incorporate that 50 percent dose increase
3 in the revised protocol, and also our clinical
4 colleagues also felt more comfortable with having
5 only the 30 patients in the clinical trial.

6 So my last example for a quantitative
7 approach is knowledge management, and this is
8 relatively new at the agency. And the idea behind
9 knowledge management is to build databases by
10 pulling data across clinical trials. Leverage that
11 prior knowledge to be able to inform future
12 development programs.

13 What we could do with such a database, which
14 is going to be the focus of my third and last case,
15 is to evaluate biomarker outcome relationships
16 across programs. Another thing we could think
17 about doing also is we could develop these
18 disease/drug trial models as a tool which we could
19 also share with drug developers. This is similar
20 to what we did for the non-small-cell lung cancer
21 as well as the Parkinson's disease. So we can't
22 share the data with developers, but we could share

1 the tools and the model approaches with developers
2 so they could use it in their own programs.

3 So this is going to be my third case, which
4 is pediatric pulmonary arterial hypertension. This
5 is a rare disease in adults, and it's also more
6 rare in children. And despite having several drugs
7 approved for adults in different therapeutic
8 classes, we have no drugs approved in children.
9 And the reason, the challenge why we don't have
10 drugs approved for children is that the primary
11 clinical endpoint for PAH is the six-minute walk
12 distance. And it's very difficult to get young
13 children who are very sick with PAH to walk for six
14 minutes.

15 So the idea for this project was to pool the
16 adult trials across programs and look at the
17 hemodynamic biomarkers, the relationship between
18 those biomarkers and the clinical outcome, which is
19 the six-minute walk test, and to see if we could
20 use biomarkers in the pediatric drug development.

21 Now, this project was performed by a
22 pharmacometric fellow by the name of Satjit Brar,

1 and he does a much better job presenting this, but
2 I'll do my best in giving the synopsis of his
3 research.

4 So again, he pooled several trials together.
5 There were 13 trials in all, seven different drugs
6 from three different drug classes, and also
7 included the control group. And when we talked to
8 the disease experts in PAH, they said that at least
9 for the WHO Group 1 type of PAH, the data obtained
10 in adults can be extrapolated to pediatrics. They
11 thought the disease was similar enough.

12 So this plot showed the relationship from
13 the pooled data analysis. So this is over a
14 thousand patients, seven drugs, three different
15 drug classes. And you can see the relationship
16 between a hemodynamic marker, the peripheral
17 vascular resistance index or PVRI, and this is the
18 change from baseline. As you reduce the pressure,
19 you're seeing an increase in the walk distance.
20 And this was the most predictive hemodynamic
21 biomarker that they evaluated.

22 This relationship, this slope -- and again,

1 this is based on the pooled data -- this slope was
2 consistent between the treatment groups and the
3 placebo groups. This relationship was also
4 consistent between the seven different drugs as
5 well as the three drug classes. So the
6 relationship seemed to be quite robust.

7 So the way you would use this for pediatrics
8 is this way. So, in adults, where the drug was
9 already approved, based on the six-minute walk
10 test, what you do is you develop the relationship
11 between the six-minute walk test and the
12 hemodynamic biomarker, PVRI, and you develop this
13 relationship. And then you conduct dose-ranging
14 studies in pediatrics. And you're looking at the
15 relationship between the change in the biomarker,
16 the PVRI, compared to dose. And then what you do
17 is you pick the dose in pediatric that gives you
18 the predefined clinical benefit from the six-minute
19 walk test.

20 So this approach for pediatrics was
21 presented to the Cardio-Renal Advisory Committee
22 meeting in 2010. And the question posed for voting

1 was whether this approach can be used for PAH. And
2 the committee members voted 7 to 6, yes, this can
3 be. And so when you asked the ones who said no,
4 this approach couldn't be used, why, what else did
5 they want to see -- and most of it centered around
6 looking at more data analysis, looking at
7 additional biomarker relationships.

8 Since the committee meeting occurred this
9 past summer until now, Satjit and his statistical
10 colleagues have been performing additional
11 analyses. PVRI is still the best-correlated
12 biomarker. And we're just waiting for upper
13 management to finally give the go that this is the
14 type of approach that can be used for pediatric
15 PAH.

16 So, in summary, I'd like to just conclude my
17 talk by thinking about good drug development
18 practices for rare diseases. And so the first step
19 for a good practice development, and this is
20 incorporating these quantitative methods, is to
21 first understand the mechanism of action, when
22 possible. Understanding the mechanism, as you

1 heard from the previous speakers, allows the
2 selection of biomarkers, and the biomarkers are
3 what we could use to demonstrate efficacy in our
4 quantitative models.

5 We'd like to include those biomarkers for
6 efficacy evaluation of drug response for benefit-
7 risk decisions. We'd also like to use more
8 innovative trial designs, including clinical trial
9 simulation to support the trial design, the dosing
10 assumptions, prior to embarking on that trial. And
11 we also want to encourage sponsors to use more
12 powerful methods for detecting this efficacy in
13 small clinical trials.

14 So with that, that's my concluding slide.
15 And I'd just like to acknowledge my colleagues in
16 both OND and OCP who have contributed either as
17 primary reviewers for the cases that I presented or
18 to our working group within OCP. Thank you.

19 [Applause.]

20 DR. VENITZ: Thank you, Dr. Garnett.

21 We have a few minutes for clarifying
22 questions, if anybody cares to ask a question.

1 [No response.]

2 DR. VENITZ: It looks like everybody is
3 ready for a break, so let's take that very break,
4 and we'll reconvene at 10:15.

5 (Whereupon, a brief recess was taken.)

6 DR. WAPLES: Before we start, I want to make
7 one announcement. One of our committee members,
8 Dr. Flockhart, is not in the room at the table at
9 this time. He is ill. However, he is listening in
10 to this presentation via webinar, and he may or may
11 not attend this afternoon's session for our
12 committee questions and discussion for this
13 afternoon.

14 So for the announcement, Dr. Flockhart is
15 not at the table; however, he is listening via
16 webinar. Thank you.

17 DR. VENITZ: Okay. With that said, let's
18 reconvene the meeting. We have our next and our
19 last guest speaker for today, and that is Dr.
20 Cloyd. He is going to give us future perspectives
21 on academic, industry, government collaboration on
22 orphan drug disease development.

Presentation - James Cloyd

1
2 DR. CLOYD: Thank you, Dr. Venitz. And I
3 want to extend my deep appreciation to the FDA for
4 allowing me to join this committee and to
5 participate in this very important meeting.

6 One comment before beginning my presentation
7 is that in offering my perspectives, I opted to
8 consider a broader array of issues than simply
9 clinical pharmacology as they pertain to the
10 development of orphan drugs. So bear that in mind
11 as we go through this presentation.

12 Now, my underlying thesis is that academic
13 institutions can play a significant but
14 complimentary role in orphan drug development, but
15 are presently limited in this endeavor by
16 resources, regulatory issues, funding, and I might
17 say perceptions, and I'll address each of these in
18 a moment.

19 The old paradigm for development of drugs,
20 discovery and development of drugs, followed
21 something like this, where discovery took place in
22 the laboratories of the pharmaceutical industry,

1 and certainly in the laboratories of academic
2 institutions and, to some degree, government. At
3 that point, the industry typically takes over and
4 conducts the preclinical work necessary, and then
5 funds the clinical studies needed before submitting
6 an NDA and eventually marketing their product.

7 In particular, with respect to orphan drugs,
8 there is a new paradigm. And in that paradigm,
9 academe continues to work on drug discovery, and if
10 anything, that effort has accelerated over the last
11 20 years as major universities have invested
12 literally hundreds of millions of dollars in
13 infrastructure and faculty to understand the basic
14 processes of diseases, identify targets, and then
15 subsequently discover new therapies to treat these
16 conditions.

17 But in addition to that, for a variety of
18 factors, academe is now invested in clinical
19 research as principal investigators and, indeed, as
20 sponsors. This is driven by a number of factors.
21 That pipeline that academe created needed to be
22 further developed, and it was often hard to find

1 commercial sponsors to pick up these products and
2 further develop them.

3 The economic situation in academe suggests
4 that one find resources anywhere you can, and an
5 expansion of commercialization and technology
6 transfer has become important. In order to do
7 that, you have to have a product to sell. And so
8 you now see academic institutions and academicians
9 involved in phase 1, phase 2, and phase 3 research
10 in all areas, but certainly so with orphan drugs.

11 Now, with this in mind, I'm going to use two
12 case studies to exemplify what I see are some of
13 the challenges and to offer my perspective on the
14 future of orphan drug development and the
15 collaboration among industry, government, and
16 academic institutions. In order to do that, I'm
17 going to shamelessly tell you about my center, and
18 I hope you will indulge me.

19 This center was created about five years
20 ago. Its mission is to improve the care of
21 individuals suffering from rare pediatric
22 neurological disorders. So in that rare disease

1 universe, we attempted to narrow it down just to
2 that subset based on, largely, the expertise within
3 our center.

4 Further, we endeavor to educate health
5 professionals and scientists and students about
6 rare diseases and orphan drugs, and, where
7 possible, we serve as an advocate for expanded
8 research in both rare diseases, orphan drug
9 development, and access.

10 In our model, we first try to identify
11 promising opportunities. And this slide was
12 created some years ago. I've gotten a lot of
13 helpful feedback from my colleagues. This globe is
14 not covered by a piece of liver; that was supposed
15 to be a screen, which apparently didn't work very
16 well. And originally we thought we might look at
17 any compound, including new chemical entities.
18 Over the last five years, we've modified that
19 model, and we are now looking largely at drugs that
20 are already available.

21 Now, you have heard the term earlier today
22 that that's called repurposing. And while it is

1 true, I want to amplify what that really means.
2 Someone has estimated that approximately two-thirds
3 or more of all available medications are generic,
4 numbers in the thousands. And that essentially
5 means there is no sponsor for those medications.

6 We have found that in some cases, there are
7 opportunities to take a look at these generic drugs
8 which might have a benefit in treating a rare
9 condition. And I would submit to you that that is
10 likely true across the broad array of drugs
11 available as generics -- and there are an
12 increasing number of drugs that will be generic --
13 that do not have a sponsor and cannot easily be
14 revised in any way to either produce intellectual
15 property protection or a market incentive, even if
16 you have orphan drug designation, because a generic
17 product will be available that's identical to the
18 designated product, and there could well be a price
19 differential working against the development of the
20 designated orphan product.

21 We then would go on in our model, and had to
22 do some preclinical work wherever that is

1 necessary. We would then conduct phase 1 through 2
2 or 3 clinical trials, where that's necessary,
3 always looking for a sponsor where a sponsor is a
4 viable alternative. Universities don't do a
5 particularly good job in registering medications,
6 and certainly not marketing them. Ultimately, our
7 goal is to get medications to families and children
8 afflicted by rare disorders.

9 Now, we, like a lot of large academic
10 institutions, large medical centers, have an array
11 of resources that in some ways mimic what a medium-
12 sized drug company might have. And so within our
13 center, we have expertise in these areas, and, as
14 importantly, we can access the expertise across the
15 University of Minnesota, which has a very large and
16 capable group of faculty who are experts in a
17 variety of areas that relate to drug development.
18 This does give us a certain expertise and
19 capability that would not otherwise be possible,
20 given our very small size.

21 I'm going to give you two case studies that
22 reflect some of the challenges and opportunities.

1 One is the development of an old drug, relatively
2 old drug, topiramate, for treatment of neonatal
3 seizures. And in that effort, we have a commercial
4 partner who's looking at it for development in
5 another related area.

6 The second one is an old drug, a really old
7 drug, N-acetylcysteine -- some of you in the
8 audience will know it as Mucomyst -- originally
9 used for cystic fibrosis and now more commonly used
10 for Tylenol or acetaminophen overdose. And we're
11 looking at its use as adjunctive therapy in a rare
12 condition, and I'll tell you more about that.

13 Let's talk about neonatal seizures. They
14 occur in the first 28 days of life. The annual
15 incidence is low. Fewer than 10,000 babies are
16 born with this condition. Now, our mainstay of
17 therapy are two drugs, phenobarbital and phenytoin.
18 They are the oldest drugs we use, aside from
19 bromide, in epilepsy. They are the most complex
20 pharmacologically, and carry the largest burden of
21 side effects known in the epilepsy field. And
22 these are our drugs of choice for the most

1 vulnerable population you can imagine.

2 But that's not the full story. The basis on
3 which we use these drugs is an uncontrolled
4 trial -- well done, but nonetheless uncontrolled --
5 in which the active treatment was effective 40 to
6 45 percent of the time. And since there was no
7 control, we do not know what the uncontrolled or
8 placebo effect might have been. And as I pointed
9 out, these drugs have not only short-term morbidity
10 but also very long-term and serious adverse effects
11 that may even affect the further development of the
12 child.

13 Topiramate is a modified sulfa drug
14 developed in the '80s and '90s, approved about
15 15 years ago, and it's in fact approved for various
16 syndromes, including Lennox-Gastaut syndrome, a
17 rare condition, down H2 as an oral product.

18 Based on basic research, neuroscience
19 research, this drug looked promising as a potential
20 treatment for neonatal seizures. So we took this
21 on as a project, and we began with filing an IND
22 and securing some funding to make the formulation.

1 We have completed adult studies. We hope to amend
2 the IND after discussion with the FDA in order to
3 move down in age, and that, of course, will be a
4 question; how many patients at what ages need to be
5 studied in order for us to begin research in
6 newborn babies?

7 We hope to complete this work in older
8 children and do pilot PK work in -- I say 2113;
9 maybe that's the realistic date. Let's say it's
10 2013.

11 [Laughter.]

12 DR. CLOYD: And then if everything moves
13 forward, we have a go decision, it is conceivable
14 that we might have the completion of a controlled
15 clinical trial by 2017, in other words, about
16 10 years after we started this project. And we're
17 going to share this data with the putative
18 commercial sponsor.

19 Now, what have we found in trying to do this
20 from an academic institution -- and let me be
21 clear. We are driving the development for this
22 particular indication. First, our funding cycles

1 are short and often populated by gaps. This makes
2 it difficult to organize a team and keep that team
3 together, particularly with the appropriate
4 expertise. Nonetheless, I'm grateful for the
5 funding we do have, and there are expanding funding
6 opportunities, as are listed here.

7 A challenge is getting early and timely
8 guidance from the FDA. And I'm not criticizing the
9 FDA; it's just the nature of the beast right now
10 that you need to provide certain preliminary
11 information in order to determine what the next
12 steps are. But keeping in mind, until you know
13 what the next steps are, it's really difficult to
14 write a grant to get funded for the next step.

15 We're going to have a challenge in designing
16 the appropriate trial, and Dr. Garnett gave an
17 example of this of what trial designs are going to
18 be informative but doable, particularly in the face
19 of IRB concerns. And, lastly, some time out in the
20 future, whatever time that may be, we will have to
21 rely on a commercial sponsor to get this product to
22 market.

1 So these are some of the challenges, some of
2 which are regulatory, some of which are clinical
3 pharmacologic in nature, and some of which are
4 marketing-based.

5 Now, here's a different example. The first
6 example conceivably leads to a commercial product
7 supported by a sponsor that's been vetted by the
8 FDA. That's probably the ideal scenario. This
9 particular case is one of an old drug, N-
10 acetylcysteine, for late stage
11 adrenoleukodystrophy. This is another type of
12 genetic, inborn error of metabolism disease. This
13 one has to do with the inability of the cytoplasm,
14 proteins in the cytoplasm, to transport very large
15 strain free fatty acids into the peroxisome. It's
16 a genetic defect that causes mutations or
17 elimination of that protein.

18 It tends to affect boys, 1 in 20,000 live
19 births of boys, or 1 in 40,000 births overall. The
20 disease is hard to diagnose until you get to late
21 stage. When you get to late-stage, mortality
22 occurs at 3 to 5 years, and in the meantime, the

1 child has an ever-growing cascade of neurological
2 disorders as well as decreased adrenal function.

3 In the early 2000s, our blood and marrow
4 transplant group attempted an experimental
5 procedure of transplanting hematopoietic stem cells
6 as a means of trying to overcome this genetic
7 deficiency, and I'm going to show you the results.

8 On this graph, we have survival on the Y
9 axis and time in months on the X axis. The dashed
10 blue line reflects the morbidity and life survival
11 analysis after stem cell transplantation in a
12 cohort of eight boys. All were dead in less than a
13 year.

14 Because the accumulation of very-long-chain
15 free fatty acids in the CNS is associated with
16 oxidative stress, it was hypothesized that an
17 antioxidant might be useful in improving outcome.
18 And it was suggested that the antioxidant that
19 might be most useful was N-acetylcysteine, which is
20 thought to be a precursor to glutathione.

21 So these investigators took a look at the
22 literature and said, let's try it. And what they

1 did was use the acetaminophen overdose protocol.
2 And let me be clear here. That's 70 milligrams per
3 kilogram every six hours for about two and a half
4 days. This protocol was 70 milligrams per kilogram
5 every six hours for 100 days, a significant
6 increase in exposure.

7 Survival? Seven out of the eight boys are
8 still alive. The one that died, died of a viral
9 infection thought to be associated with the chemo
10 preparatory regimen related to transplantation. We
11 call this the wow graph.

12 While this is supportive, it's certainly not
13 confirmatory, and there are certain shortcomings to
14 this set of data that only suggest that N-
15 acetylcysteine could be useful. We are pursuing an
16 understanding of why it works, how best to use it,
17 and can it be used prior, at an earlier stage in
18 the disease, to modify outcomes.

19 Now, the issues here are as follows. The IV
20 formulation, and you need to use an IV formulation
21 early on - and, in fact, the oral formulation
22 appears to have very poor bioavailability -- is an

1 orphan drug, so it has a sponsor. But that orphan
2 designation expires this year. And even if you got
3 an orphan designation for this particular
4 indication, it is possible that a generic IV
5 formulation at a lower cost would be available.
6 What would your hospital choose?

7 Who funds the clinical trials for these
8 long-term studies? Because ultimately you want to
9 know both survival and quality of life and
10 functionality, and these will take years to
11 conduct.

12 If we did all of that without a sponsor, per
13 se, is there a mechanism to change the label? And
14 is there a mechanism to have a body such as the FDA
15 to carefully examine the data so that it is
16 adequately vetted?

17 By the way, does number 3 matter?
18 Pediatrics and neonatology is populated with drugs
19 that are used off label, and very successfully.
20 And is there a pathway to commercializing this
21 product, and if not, so what? Does it matter?
22 There will be an intravenous formulation of N-

1 acetylcysteine readily available to most hospitals,
2 so do we need to worry about that?

3 These are questions that I have in mind that
4 relate to not only the clinical pharmacology issues
5 but the regulatory considerations as well.

6 Now, challenges in getting academic centers
7 involved in orphan drug development, and these are
8 my top seven, starting from number 7.

9 Academicians really aren't interested in
10 commercialization; they just want to know the truth
11 and study science.

12 We do not operate GMP and GLP facilities,
13 particularly animal toxicology. And while there
14 are some exceptions to that statement, it is
15 generally true.

16 It's hard to get money from the NIH, or at
17 least has been hard to get money from the NIH, to
18 develop drugs. It's just too pedestrian. And for
19 repurposing of available drugs? That's 9 on the
20 NIH rating score.

21 Academicians and faculty are generally
22 unaware of the ever-growing number of federal

1 programs that support orphan drug development.
2 Here's an opportunity. We can correct that
3 problem.

4 It is very difficult to sustain development
5 with funding gaps, and this is in marked
6 distinction to the private sector, where there is
7 sufficient capital to retain groups over time to
8 conduct these kinds of long-lasting drug
9 development projects.

10 There's difficulty, as you've heard, in
11 finding industry partners interested in
12 commercializing orphan drugs, for the reasons that
13 have been stated. And the top reason why academic
14 groups are reluctant to get involved in orphan drug
15 development is the fear and loathing of regulatory
16 requirements related to drug development and
17 unfamiliarity with FDA procedures. And as was said
18 in a conversation a minute ago, this looks to me
19 like it's becoming a myth. And so the only thing
20 we have to do is undo the myth, which could be a
21 formidable challenge, but doable nonetheless.

22 On the other hand, there are enormous

1 opportunities for this community to accelerate
2 orphan drug development if we harness academic
3 institutions and get them appropriately involved in
4 partnerships with government and with industry.

5 We possess most, but perhaps not all, of the
6 personnel and facilities for discovery and
7 development. We are increasingly involved in
8 designing and performing phase 1 through phase 4
9 studies. We have expanding capabilities in the
10 area of discovery and preclinical development. We
11 are accustomed to competing for federal research
12 funding, which will likely be the driver for early-
13 stage development.

14 Many institutions serve as centers for
15 patients with rare disorders, and so it's
16 relatively easier to identify research groups and
17 the patients they serve. And we are frequently
18 collaborating in research consortia, which is
19 almost an absolute necessity when attempting to
20 conduct trials, particularly controlled trials,
21 with rare disorders. So academe is positioned to
22 help move forward orphan drug development only if

1 we harness it appropriately.

2 So what do we need to do collectively? We
3 need to expand efforts to make academicians aware
4 of the opportunities, funding, and what I'm now
5 hearing as a spirit of collaboration within
6 government, particularly the FDA, in orphan drug
7 development.

8 We need to create mechanisms to ensure
9 program continuity. I don't know quite how to do
10 this, but it's illogical to start a drug
11 development process and to seek funding on a step-
12 by-step process where there are gaps that last
13 months to years in that funding resource.

14 Enhance and expand government efforts to
15 assist academicians in developing drugs for rare
16 and neglected disorders. And this is already going
17 on. There's FDA assistance with INDs; assistance
18 in identifying and solving GMP and GLP issues --
19 some of that is also coming from NIH; guidance in
20 how to identify and adhere to regulations. And
21 this is all now being done in a spirit of
22 collaboration as well as in regulation. And that

1 needs to be communicated to the academic
2 communities.

3 Lastly, I think we need to integrate drug
4 discovery and development into rare disease
5 research. As an example, the NIH Office of Rare
6 Disease Research now funds a group of consortia.
7 So think of this as each group is a hub -- each
8 rare disease is a hub -- with spokes out to several
9 or more academic centers. Literally scores of
10 academic centers are now engaged in understanding
11 both the basic and clinical processes of rare
12 disorders.

13 Let's integrate the notion of drug
14 development and discovery into those processes to
15 create efficiencies and to accelerate the
16 identification of attractive, potentially useful
17 compounds, and to carry out that development.

18 So my perspective is that academic centers
19 can and should play a greater, albeit a
20 complementary, role in the development of orphan
21 drugs. I further see that early signs of growing
22 involvement are encouraging.

1 The awareness about rare diseases and orphan
2 drugs in the last two or three years is absolutely
3 phenomenal, and you can see it everywhere. You can
4 see it in the Wall Street Journal, in Time
5 magazine. You can see it on 60 Minutes. You can
6 go to the movies and watch something called
7 "Extraordinary Measures." You can see the
8 visibility of patient advocacy groups. You can see
9 centers being established across this country in
10 academic institutions, and you can see the emphasis
11 that's now being placed on this by both the NIH and
12 the FDA. These are all very encouraging signs.
13 And it should lead, if we do it right, in a greater
14 involvement with academic groups.

15 What does the future hold? Well, I hesitate
16 to do anything more Niels Bohr, who thought it was
17 darned difficult to envision what's going to
18 happen, but I'm a glass half full guy. I think
19 we're going to see not only an explosion of orphan
20 designations, but an increasing number of drugs
21 that are approved for rare disorders and an
22 increasing number of drugs for which there is sound

1 scientific evidence of their safety and efficacy
2 for the treatment of rare conditions.

3 Thank you.

4 [Applause.]

5 DR. VENITZ: Thank you very much, Dr. Cloyd.

6 Any clarifying questions? Dr. Giacomini?

7 DR. GIACOMINI: Yes. Very nice
8 presentation.

9 Yes. I'm wondering how your center -- I
10 mean, to have a center like yours, you have to
11 either be endowed or have some money, at least, at
12 the get-go. How was your center started in terms
13 of getting it off the ground?

14 DR. CLOYD: I'll take a minute to answer
15 this question. A former dean at the College of
16 Pharmacy at the University of Minnesota by the name
17 of Larry Weaver retired from his college position
18 and went to the PMA. For those of you who are too
19 young, that's the forerunner of what's now called
20 PhRMA. And he became a vice president, and one of
21 his missions was to get the pharmaceutical industry
22 more greatly involved in orphan drug development,

1 and he had some success in doing that.

2 Upon his departure, he came back to
3 Minnesota and set up a couple of companies. One
4 was called Swedish Orphan. Another one was called
5 Orphan Medical USA. He also brought in a very
6 large gift to the College of Pharmacy, and his
7 successor dean said, let's name a chair after Larry
8 Weaver. And then a year or two later, she said,
9 and let's dedicate it to orphan drug development in
10 honor of his contributions.

11 So this was launched by an endowment, and
12 that pays for about half of our operations. Most
13 everything else requires extramural funding.

14 DR. VENITZ: Any other questions or
15 comments?

16 DR. LERTORA: A comment, if I may.

17 DR. VENITZ: Go ahead.

18 DR. LERTORA: Thank you for your
19 presentation.

20 With regard to the NIH role in repurposing,
21 there may be some interesting things developing in
22 the near and distant future, if you will. But this

1 issue is now part of a strategy that the NIH
2 leadership is interested in, in terms of
3 accelerating translational research and development
4 of new therapeutic agents. And this actually
5 includes the network of Center for Translational
6 Research, the CTSA network that you may be familiar
7 with, that has, in particular, an initiative
8 dealing with repurposing. So there may be
9 mechanisms developing in the future that may help
10 in terms of funding these initiatives.

11 DR. CLOYD: The signs are encouraging.

12 DR. VENITZ: Dr. Lesko?

13 DR. LESKO: Jim, thanks for your
14 presentation. As you were speaking, I was thinking
15 about other collaborations that have borne some
16 fruit, and I think of Critical Path Initiative and
17 some of the collaborations through our biomarker
18 qualification program.

19 I think we heard this morning that a lot of,
20 let's say, the biomarkers that have been used in
21 rare diseases could be qualified for many different
22 indications, in fact, some other rare diseases.

1 And qualifying biomarkers under a consortium
2 collaboration is one of the ideal mechanisms for
3 doing that because it's so efficient and so timely,
4 and it's something I'd like to see academia get
5 involved with, and hopefully some funds would come
6 along with that. But I think a lot of room for
7 collaboration in this area, based on what we've
8 already done with some of these other areas.

9 DR. VENITZ: Any other questions?

10 [No response.]

11 **Open Public Hearing**

12 DR. VENITZ: Thank you again, Dr. Cloyd.
13 And that concludes the formal presentation part,
14 and I'm going to open the open public hearing.

15 Both the Food and Drug Administration and
16 the public believe in a transparent process for
17 information-gathering and decision-making. To
18 ensure such transparency at the open public hearing
19 session of the advisory committee meeting, FDA
20 believes that it is important to understand the
21 context of an individual's presentation.

22 For this reason, FDA encourages you, the

1 open public hearing speaker, at the beginning of
2 your written or oral statement, to advise the
3 committee of any financial relationship that you
4 may have with a sponsor, its product, or, if known,
5 its direct competitors. For example, this
6 financial information may include the sponsor's
7 payment of your travel, lodging, or other expenses
8 in connection with your attendance at the meeting.

9 Likewise, FDA encourages you at the
10 beginning of your statement to advise the committee
11 if you do not have any such financial
12 relationships. If you choose not to address this
13 issue of financial relationships at the beginning
14 of your statement, it will not preclude you from
15 speaking.

16 The FDA and this committee place great
17 importance in the public open hearing process. The
18 insights and comments provided can help the agency
19 and this committee in their consideration of the
20 issues before them.

21 That said, in many instances and for many
22 topics there will be a variety of opinions. One of

1 our goals today is for this open public hearing to
2 be conducted in a fair and open way where every
3 participant is listened to carefully and treated
4 with dignity, courtesy, and respect. Therefore,
5 please speak only when recognized by the chair.
6 Thank you for your cooperation.

7 I now would like to invite our first open
8 public hearing speaker.

9 MR. EMMETT: Good morning. My name is
10 Andrew Emmett, and I'm managing director for
11 science and regulatory affairs with BIO, the
12 Biotechnology Industry Organization. And with
13 respect to conflicts, of course, I am an employee
14 of BIO. Thank you for the opportunity to present
15 the views of the biotech industry today regarding
16 orphan drug development.

17 BIO represents more than 1,100 biotechnology
18 companies, academic institutions, and state
19 affiliates and related organizations across the
20 United States and 30 other nations. And, indeed,
21 the mission of many biotech companies is to bring
22 hope and to meet the needs of patients who suffer

1 from rare diseases.

2 BIO members believe that FDA, in conjunction
3 with the Orphan Drug Act, has made great strides to
4 ensure the availability of safe and effective
5 orphan products in a timely manner, but more must
6 be done in order to accelerate the development of
7 next-generation orphan products.

8 Given the significant morbidity and
9 mortality associated with rare and orphan diseases,
10 the unmet medical need, the societal costs, and the
11 challenges of conducting trials in these patient
12 populations, BIO believes that the current
13 regulatory environment and FDA's review processes
14 need to be reevaluated and modified for orphan
15 products. The regulatory and approval pathway
16 needs to be predictable, faster, and one that more
17 clearly balances benefit and risk for these orphan
18 disease patients and their families.

19 In general, the small size of patient
20 populations is a crucial factor in clinical study
21 design and demands different flexible approaches to
22 FDA evaluation of trial design and statistical

1 analysis of results. Additionally, given these
2 trials often necessitate global recruitment,
3 protocols should be able to satisfy institutional
4 review boards and ethics committees
5 internationally.

6 More specifically, we have five
7 recommendations for consideration.

8 First, BIO urges FDA to publish further
9 guidance regarding orphan drug development to
10 improve the understanding among both FDA reviewers
11 and sponsors regarding novel study approaches and
12 nontraditional clinical development programs so
13 that we may encourage flexibility in scientific
14 judgment and FDA's review processes.

15 For example, FDA guidance could address
16 unique scientific considerations around study
17 design, validation of novel efficacy endpoints in
18 small patient populations, statistical analysis,
19 development of patient-reported outcome tools, and
20 challenges associated with postmarket studies.
21 Additionally, FDA guidance should provide
22 interpretations of current orphan drug regulations.

1 Second, we urge that FDA review the use of
2 its standards for demonstrating efficacy of rare
3 disease products. Given the small patient
4 populations involved, BIO urges FDA to consider
5 alternatives to demonstrating efficacy, including
6 approval based on a single adequate and well-
7 controlled trial at less than P equals .05.

8 In the many cases where it's not feasible,
9 or even maybe unethical, to conduct a placebo-
10 controlled trial, we urge FDA to consider the use
11 of other data, including NIH-conducted studies
12 using the same populations, the use of consortia
13 between government and academia and industry, and
14 the use of patient registries for rare diseases as
15 part of efficacy considerations.

16 We appreciate the comments that FDA staff
17 have made today in support of case-by-case,
18 science-driven flexibility regarding approval
19 standards, and we encourage the additional adoption
20 of these views across FDA's review divisions.

21 Third, we urge FDA to support the use of
22 scientifically validated surrogate endpoints for

1 product approval under FDA's accelerated approval
2 regulations. Timely approval with adequate follow-
3 up should become the norm for such diseases, of
4 course, understanding that it will have to be based
5 on credible scientific rationale, and will need to
6 be assessed on a case-by-case basis.

7 We also encourage FDA to promote flexibility
8 in the utilization of alternative surrogate
9 endpoints and biomarkers. If data suggests that an
10 alternative endpoint would be more appropriate than
11 the established surrogate marker, then FDA should
12 be open to discussing its utilization.

13 Fourth, BIO believes that FDA can improve
14 communications processes for rare disease
15 stakeholders. It's important that FDA encourage
16 reviewers to establish more efficient
17 communications processes that allow reviewers and
18 sponsor researchers to discuss scientific issues
19 based on realtime data.

20 There's no special priority given to rare
21 disease products in current FDA practices regarding
22 protocol assistance, informal communications with

1 the agency, the regulatory path, and other matters.
2 Given the complexity and the special challenges of
3 developing rare disease products, this impedes
4 development and approval. It's also important that
5 FDA consult with other review divisions and
6 multidisciplinary teams well in advance of meeting
7 with the sponsor so that all staff members are
8 fully acquainted with the issue at hand.

9 Finally, we need to better understand the
10 risk-reward ratios for these rare drug diseases.
11 Addressing the tolerance for risk in drug
12 development in the rare disease space is essential
13 for advancing new therapies. Along these same
14 lines, the agency may consider having medical
15 reviewers spend more time with rare disease patient
16 organizations to learn from their leadership and
17 members of what they think and know of clinical
18 trials, barriers to implementation, anticipated
19 benefit, and tolerated risk.

20 So, in conclusion, thank you for the
21 opportunity to present BIO's views on innovative
22 approaches to orphan drug development. Thank you.

1 DR. VENITZ: Thank you very much.

2 Any questions by the committee?

3 [No response.]

4 DR. VENITZ: I don't see anybody. Thank you
5 again.

6 Now I'd like to invite our second open
7 public hearing speaker, please.

8 DR. KAKKIS: Hello. This is Emil Kakkis.
9 I'm with the Kakkis EveryLife Foundation. My
10 foundation is focused on improving the regulatory
11 process for rare diseases. One of our goals, of
12 course, is getting better access to the accelerated
13 approval pathway, and I think it's one of the
14 reason the oncology drugs have done so well in the
15 last decade.

16 But I'm going to talk today about optimal
17 dose or dose range determination in rare diseases,
18 which I think is more complicated and needs further
19 understanding and analysis. And my point to you
20 today is simple. In a word, I think dose
21 escalation designs are often more informative than
22 parallel group studies in determining dose, and

1 there needs to be more consideration given,
2 whatever the limitations are, to those type
3 designs.

4 Both in industry and at FDA, there's a
5 tendency to focus on parallel group designs as
6 being superior because we will not have any effect
7 of different amounts of time on dose effect. But
8 the challenge is in rare diseases that there are
9 often very heterogeneous and wide ranges, and it's
10 very difficult to detect differences, and we end up
11 unable to conduct the type of study with large
12 enough sizes to be able to determine what's going
13 on in those patients.

14 The other problem with these parallel group
15 designs is that they're really very insensitive to
16 individual patient differences, so I'd like to show
17 you a couple examples that are -- in how things
18 didn't work in rare diseases, and a couple examples
19 how they did work, to help you understand these
20 issues.

21 Aldurazyme is an enzyme replacement therapy
22 for MPS-1, and a dose optimization study was done

1 as a postmarketing commitment in that program.
2 Thirty-two patients were identified, which took an
3 international effort, in fact, 8 patients per dose
4 regimen. It doesn't matter what the four dose
5 regimens were, but they were divided among four.

6 Well, we failed there. We were able to find
7 no difference between any of the regimens, really,
8 from an efficacy standpoint, even though it was
9 very likely there should have been some difference
10 between those regimens.

11 One of the sites took their 8 patients,
12 currently on the label dose, and titrated them up
13 to the alternate regimen, one of the regimens we
14 studied, and showed that 3 of the patients had a
15 dramatically better effect on that alternate
16 regimen; 5 patients were the same.

17 But that's the kind of information you never
18 see when you do a parallel dose group study. They
19 had better results out of an 8-patient study than
20 the 32-patient study we did, where we didn't
21 discover those differences.

22 Elaprase is another example where a one-year

1 parallel group study with the walk test as one of
2 the primary endpoints didn't really show a clear
3 difference between two dose regimens; but, in fact,
4 other endpoints in surrogate markers did show the
5 difference. But if you had relied on the walk test
6 in that design, you would have actually failed to
7 appreciate the difference between what was good and
8 very good doses. And I think that's where that
9 subtlety is -- it doesn't work out very well with
10 rare diseases. We need to understand those
11 challenges.

12 Now, in dose escalation, there are a couple
13 examples that are quite good. In the Kuvan, which
14 is sapropterin, for PKU, this is a drug that lowers
15 the phenylalanine level in patients with PKU. And
16 in this situation, the FDA asked us to do a forced-
17 dose titration.

18 We went through three doses, starting at a
19 middle dose. We went to a low dose, high, then
20 middle. And by analyzing that, we were able to
21 show that each individual patient required
22 different doses, and we put them on long-term

1 exposure at the right dose for each patient.

2 But importantly, there was a group of
3 8 patients that worked well at a 5 mg per kilo
4 dose, not the 20 mg per kilo dose a lot of other
5 patients were getting. And that would have been
6 very -- if we had done a parallel group study, we
7 would have only had two patients in that parallel
8 group that would have responded, and you would have
9 easily missed the fact that there's actually a good
10 10 percent subset that could tolerate a much lower
11 dose and get a good effect. A similar problem now
12 in -- so that study actually gave us an answer
13 which we wouldn't have gotten otherwise. We've put
14 patients on the right dose for long-term study.

15 The galsulfase example, I was at BioMarin at
16 that time -- and I didn't mention my conflict, but
17 I am an ex-employee of BioMarin, so I have some
18 conflict because of my involvement there. However,
19 they did not let me -- they didn't know I was
20 talking about Morquio today. But the galsulfase
21 program, we did a forced-dose titration -- I was
22 involved in the design of that study -- going

1 through three doses, hit a top dose, and then back
2 down to the middle dose. We showed that you got
3 the best substrate reduction at the top dose, and
4 when you backed down, the substrate came back,
5 indicating the top dose was really the optimal
6 dose.

7 But if you look at the walk test, the walk
8 test was very noisy, and it created confounding
9 information. But the truth is, I don't think you
10 can rely on the walk test because with a 20-patient
11 study, it's too noisy. And the truth is, even
12 though it's a clinically relevant endpoint,
13 clinically relevant endpoint noise is still just
14 noise, and making good decisions off that is not
15 smart.

16 So these are examples where dose escalation
17 actually worked and gave us more information with a
18 relatively small study and efficient use of
19 patients.

20 So I think these studies can be better, and
21 we need to be able to include them where they can
22 be included, where there is a rapidly changing

1 marker or biological effect we can study. And we
2 have to have designs that help evaluate what's
3 known, to help control for the time of treatment
4 effect. But we think we'll discover more unknown
5 sensitive resistant subjects in these populations
6 when you're talking about very small studies. So I
7 think it fits the paradigm better of a complex
8 disease.

9 I'll throw one other relevant point here,
10 which is that these type designs I think will have
11 implications for other diseases. And I cite here a
12 couple examples of reports, by Carl Peck's group
13 and another group, Heerdink, et al. And they
14 showed that after approval, dose reductions are
15 actually rather frequent, and that in fact of those
16 21 percent of drugs required dose changes; 79
17 percent were dose decreases, and 27 percent of
18 neuropsych drugs, for example, needed dose
19 decreases.

20 The truth is, because of desire to get
21 maximal treatment effects, there's a drive with a
22 parallel dose group study to end up driving doses

1 higher, and the means drive the groups and
2 decisions to higher dose levels. What we fail to
3 appreciate, then, is the tales of higher sensitive
4 patients are lost in that story, and you end up
5 with drugs that are probably being approved at a
6 dose that are too high for the average patient; for
7 some patients. Let's put it that way.

8 So I think what we need is better evaluation
9 of dose escalation or titration methods in our
10 design of these studies. And that is what I think
11 would be effective in analyzing dose and
12 establishing dose range in rare disease studies.
13 And it's something that's not standard right now.
14 It's not really well-accepted. And I think it
15 needs to be not a difficult battle, but an accepted
16 strategy on determining dose in rare disease
17 studies.

18 That's it.

19 DR. VENITZ: Thank you, Dr. Kakkis.

20 Any questions? Dr. Cloyd?

21 DR. CLOYD: Are you proposing that this
22 would be an alternative to an efficacy trial or

1 standard efficacy trial?

2 DR. KAKKIS: No, I'm not proposing that.
3 I'm actually proposing that in the phase 1-2, many
4 of our phases involve two studies anyways. So in
5 the phase 1-2 study where we're looking at dose
6 issues, by taking a small group of 10 patients
7 through four or five doses, with the right caveats
8 and right design, we can get more information than
9 we would get trying to take 20 patients through
10 three dose groups. That's what I'm saying.

11 So I don't mean to say that's going to
12 substitute for an efficacy study.

13 DR. CLOYD: And then as a follow-up to that,
14 the logical conclusion might be that in a
15 controlled trial, you might have individualized
16 doses, both active and placebo, for the population
17 under study.

18 Is that something you would envision?

19 DR. KAKKIS: Well, I think it's something
20 that would make sense. It is complicating to do in
21 a placebo-controlled setting. In our Kuvan story,
22 we actually randomized everyone to 10 mg per kilo

1 on placebo, and we did the dose titration after the
2 placebo-controlled period, where we ramped them
3 through. And then we put them on long-term
4 exposure because of the difficulties of trying to
5 do dose titration during the middle of the placebo-
6 controlled study.

7 So that was the design we used in Kuvan. It
8 worked very well in that program.

9 DR. VENITZ: Any other questions?
10 Dr. Lesko?

11 DR. LESKO: Yes. I have one question while
12 you're there at the microphone. Thank you for your
13 remarks.

14 The question I had was in the dose
15 escalation proposal or idea. Have you thought
16 through how that might be analyzed, or how it might
17 be analyzed differently, once you have the data
18 compared to, say, what we do in a parallel
19 situation, where we compare one dose to the other
20 and somewhat get a lot of inefficiencies there?
21 That is, some sort of continuous analysis of that
22 dose escalation data, and have you any experience

1 with something like that?

2 DR. KAKKIS: Right. I think there's a lot
3 of different ways you might go about the analysis.
4 I think one of the things you can think about is
5 some of the differences between dose has to do with
6 differences in absorption, for example. So there
7 could be parallel PK/PD data at different doses
8 that could be used to normalize drug levels and
9 dose effect, for example, as another strategy,
10 because you have more data on each person, and at
11 different dose levels you can actually use, maybe,
12 that PK information to help analyze the PD
13 information to get you a better understanding of
14 how to dose; what are you trying to hit in terms of
15 blood level?

16 So I think there's a lot of -- because
17 there's connections between the patients at
18 different doses, there's a lot more interesting
19 ways of going at the data that allows you more
20 insight in what's happening, and I think that's the
21 general point. Thanks.

22 DR. VENITZ: Dr. McLeod?

1 DR. MCLEOD: I have a question. It's
2 probably more for Dr. Lesko or Dr. Cote. Are there
3 current guidance that is out that gives a
4 preference to dose escalation versus parallel
5 groups, or is it more just the way the industry has
6 gone that is causing the parallel group to be
7 preferred, maybe because of efficiency of time?

8 DR. LESKO: I was trying to think about
9 that, going through my mind with some of the
10 guidances FDA has put out, and two of them came to
11 mind. I'm going to say I don't believe so, but we
12 have an evidence of efficacy guidance that gets
13 into dose-response and PK/PD analyses as a
14 potential confirmatory evidence of efficacy. We
15 also have a dose-response guidance that I haven't
16 looked at in a while. But with these comments, I
17 want to go back and take a look at that.

18 I think what we do feel is that a continuous
19 analysis of dose response data with some PK and PD
20 information in it is much more informative than a
21 parallel design, where you're going to compare one
22 dose to the other to see which one is better.

1 I think when we had the data -- and maybe
2 Christine could comment on this as well because
3 most of this is done in pharmacometrics -- but when
4 we get the data, I think we tend to analyze it as a
5 continuous variable as opposed to a discrete,
6 categorical analysis. And it seems to be much more
7 informative in terms of getting to the optimal
8 dose, which you don't get, necessary, in the
9 parallel dose design.

10 So I think it's a combination of both design
11 of the study and the analysis being prospectively
12 designed as well to address the questions.

13 DR. VENITZ: Let me make a comment. I'm in
14 favor of your proposal. However, you do assume
15 that you have a biomarker that changes quickly
16 enough so you can actually adjust the dose.
17 Usually, unless it's a symptomatic outcome, I don't
18 see how you can do your individualized dose
19 titration on outcomes.

20 DR. KAKKIS: Most of the time you're
21 depending on the biomarker, and the design should
22 help you verify that, in fact, you're not having

1 carryover effect or that you're actually dynamic,
2 for example, by alternating high-low doses and
3 looking at that issue.

4 So a biomarker is far more -- there are very
5 few clinical endpoints, probably, that are going to
6 be as responsive, but there may be. So I didn't
7 want to prejudice it, but I do believe biomarkers
8 are going to be more useful in this situation.

9 DR. VENITZ: Well, you mentioned this should
10 be done early on, which I concur. But in order for
11 you to do it appropriately, you need some
12 information about the dynamics of that biomarker
13 relative to time and maybe even to dose.

14 DR. KAKKIS: Very often we will have that
15 information from, let's say, dog model and/or
16 disease model studies where we kind of know what
17 the marker is and how well it responds. And you
18 can do some of those tests in the model to
19 understand the dynamic relationship and how fast it
20 turns and how what it relates to. So I think those
21 are ways we can tap into other data sets to help us
22 so when we enter the clinical study, we have a

1 better idea of what we're doing.

2 DR. VENITZ: Okay. Just one comment,
3 Dr. Lesko, in terms of using the dose as a
4 continuous variable. In this case, if you can
5 actually measure the biomarker for each patient,
6 you would have intra-individual dose-response
7 groups, which would be extremely useful and
8 obviously appropriate for orphan diseases.

9 [Dr. Lesko nods yes.]

10 Dr. Barrett?

11 DR. BARRETT: Yes. I think it offers some
12 intriguing possibilities as well. I guess the
13 question comes down to, if you're going to have
14 sample size reduction with that type of an approach
15 as opposed to a parallel group, you then have to
16 weigh the issue of generalizability of the results.
17 The analysis may be done in a continuous fashion,
18 but if the basis for the approval was based on a
19 comparison of dose groups, there again I think
20 likes often the disconnect between what you can do
21 from a pharmacometric side versus what constitutes
22 language around an approval.

1 But I think it offers a lot of potential
2 from the standpoint of an individualized
3 recommendation. But I wonder if we can kind of
4 couple that with the ability to extrapolate that
5 individualized data to a larger population. Again,
6 I think the pharmacometrics would be a great tool
7 to explore that.

8 DR. VENITZ: Any other questions or
9 comments?

10 [No response.]

11 DR. VENITZ: Thank you again, Dr. Kakkis.
12 Then I'd like to invite our third speaker.

13 DR. SHREWSBURY: Thank you very much. My
14 name is Stephen Shrewsbury. I'm chief medical
15 officer and full-time employee for AVI BioPharma.
16 I have three questions or proposals for the
17 committee, and I'll focus, really, on the first
18 two, which is, the utility of mechanistic
19 biomarkers; the use of class designation, perhaps
20 specifically as applied to oligonucleotides, or in
21 our case, oligomers; and then perhaps also a little
22 bit about study design and statistical comparison

1 of primary endpoints, and perhaps some flexibility
2 about how to design those.

3 Really, DMD, which is the disease that I'm
4 focused on at the moment, is certainly a rare
5 disease, and within that, there are very small
6 subsets for the individual genetic deletions. You
7 can see that within the U.S., there are under
8 supposedly about 10,000 children, mainly all boys,
9 with this disease. Very high annual cost, and
10 therefore, drug development really has some
11 challenges. You have to combine good science,
12 ethics, and economics. And early discussion with
13 all the stakeholders is vital.

14 Within those small subsets, particularly
15 with the individual deletions, there is a lot of
16 variability, both with age, disease status,
17 concomitant medications, geographical location
18 we've heard about this morning, genetics, and the
19 natural history, in some cases not very well known.
20 However, we have got some very good animal model
21 data both in mice and in dogs, which has shown that
22 with exon skipping in particular, you can restore

1 or you can start expressing the missing dystrophin
2 protein.

3 This is a slide from a recently completed
4 study we conducted in the United Kingdom, normal
5 subject with dystrophin being expressed and shown
6 on immunofluorescence. A particular patient
7 pretreatment, and after treatment with 12 weekly
8 injections, you're actually starting to see some of
9 this protein being expressed, seemingly in the
10 right place. The 12-week duration was not long
11 enough, however, to see the functional benefit from
12 that. And this was a child of several years,
13 actually 10 years of age, and you might expect that
14 it would take quite some time for that new protein
15 to actually translate through to clinical
16 functional benefits.

17 So, really, the use of mechanistic
18 biomarkers, particularly when they are supported
19 with animal model data, we believe should be
20 encouraged for some of these rare and lethal
21 diseases, obviously with ongoing clinical data
22 being captured post conditional approval.

1 Question 2 or point 2 is about the use of a
2 class designation. And as I mentioned, this refers
3 to the oligonucleotides in general. Many different
4 sequences will be needed to treat the various
5 different subsets. However, each sequence can be
6 built in the same almost identical chemistry
7 backbone, and often the same length or very similar
8 lengths.

9 Requiring traditional levels of proof of the
10 clinical safety and efficacy for each one of those
11 individual oligomers really would be not possible,
12 and certainly not financially viable, for many
13 small companies. So smaller programs, particularly
14 for second, third, or subsequent candidates, should
15 again be encouraged with some form of conditional
16 approval and postmarketing follow-up, perhaps
17 through registries or phase 4 studies.

18 Looking at the five most common exons that
19 could be skipped in Duchenne muscular dystrophy,
20 five drugs to target these would account for about
21 52 percent of the patients. However, only about
22 half of those patients are going to be ambulant,

1 and the current endpoint is the six-minute walk
2 test. A smaller number would also not have
3 cognitive impairment, and as we've mentioned, a lot
4 of the children might have difficulty with access
5 to neuromuscular centers or would be outside of the
6 current age criteria for the studies.

7 The PMO chemistry that we're using really
8 has the same backbone for all the different
9 oligomers. So, for instance, we have two that are
10 in development, 4658, which is a 30-mer sequence,
11 and then we have a second one which is a slightly
12 shorter sequence. But you can see that the same
13 chemistry backbone is employed, just with a
14 different sequence of bases on that.

15 We've got a lot of preclinical experience
16 with the PMOs, showing that there's no
17 genotoxicity, no safety pharmacology issues, and
18 we've got a significant amount of 12-week GLP data
19 now. We've also conducted a number of different
20 programs in different colors here with different
21 PMOs in either healthy volunteers or in patients,
22 and we've not seen any off-target effects as yet

1 with the oligonucleotides. And we've gone up to
2 maximum cumulative exposures of over
3 10,000 milligrams and maximum single doses of 900
4 milligrams.

5 So, really, we would propose that where
6 you're using a chemical backbone with different
7 sequences, some thought should be given to treating
8 these as a class, and more flexibility with
9 particularly second, third, and fourth candidates
10 within a class.

11 Those are the two main points that I wish to
12 raise. Thank you.

13 DR. VENITZ: Thank you.

14 Any comments or questions? Dr. Thummel?

15 DR. THUMMEL: Yes. Thank you for those
16 remarks.

17 I just had a follow-up on that. I mean, I
18 could certainly see a compelling case with regard
19 to safety, being a class designation. But with
20 regard to efficacy, as I understand it, you really
21 will be targeting a unique site. And so what could
22 you provide with regard -- you know, to provide

1 confidence that you can extrapolate efficacy even
2 though you may not test it in exactly the same way
3 as, say, the first few compounds that you might
4 look at?

5 DR. SHREWSBURY: Well, the paradigm would be
6 that with perhaps more common deletions, you would
7 establish both the mechanistic biomarker and some
8 correlation with some clinical endpoints. And then
9 in subsequent candidates where you are looking at
10 much smaller populations, you'd be looking for that
11 surrogate marker, particularly where it's a yes or
12 no. It's a very distinct situation.

13 DR. VENITZ: Mr. Goozner?

14 MR. GOOZNER: A question. I'm the consumer
15 representative on this committee, so I sort of have
16 the same question, but looking at the safety side.
17 I mean, in drug classes, we often see drugs that
18 have problems. It's not a class effect, but it's
19 one particular evolution of a common molecule. So
20 in this case, why wouldn't that become a problem
21 here as well, potentially, in some cases?

22 DR. SHREWSBURY: Well, the basis of this

1 chemistry is common across many of the
2 oligonucleotides. They have a big chemical
3 backbone on which the only changes are actually the
4 sequence of the bases that you're actually linking
5 to different RNA targets. So there is no
6 difference in the actual backbone of the chemistry,
7 and the amino acids that are used for actually the
8 targeting are naturally occurring.

9 MR. GOOZNER: Just a follow-up. But very
10 often these minor changes are precisely what cause,
11 in broader drugs, rare side effects. So usually in
12 a rare orphan disease, where the benefit-risk ratio
13 is such that rare side effects are not an issue but
14 it's conceivable that even a minor change could
15 have some -- it seems to me a minor change could
16 have some significant side effect.

17 DR. SHREWSBURY: Absolutely agree with you.
18 And those are, in many cases, as with the more
19 common blockbuster drugs, unexpected and
20 unanticipated, which is one of the reasons why a
21 conditional approval with very firm and clear
22 guidance on registries and postmarketing approval

1 is probably the only way you're going to ever pick
2 such rare side effects up.

3 DR. VENITZ: Dr. Lertora?

4 DR. LERTORA: Thank you.

5 Thank you for your presentation. My
6 question was actually along the same lines as the
7 previous speaker in terms of to what extent the
8 traditional paradigm of structure-activity
9 relationship can be applied in this -- or to these
10 kind of products.

11 Again, I had the same kind of concern in
12 terms of long-term exposure and the possibility of
13 some safety issues arising due to these changes, as
14 opposed to considering the whole class safe, if you
15 will, in the way you propose.

16 DR. SHREWSBURY: Right. And some of the
17 recent FDA approvals of rare orphan drugs have
18 actually established the requirement to follow
19 these drugs up for a number of years post-launch.
20 And certainly we would support that because these
21 children, once treated, they're going to be on
22 lifelong treatment, and sometimes it's difficult to

1 predict when or how and in whom any idiopathic
2 safety issues would be encountered.

3 DR. VENITZ: Dr. Lesko?

4 DR. LESKO: See if I understand the thought
5 of this class designation. So in renal cell
6 carcinoma, we see tumor-binding peptides being
7 used, and they all sort of target the same region,
8 let's say, of the tumor, but the peptides
9 themselves have different structures.

10 Is that the kind of thing you're speaking
11 about, so that a molecule would have slight
12 differences in its sequence or whatever, but
13 they're all working at the same site with a high
14 degree of specificity, or am I misinterpreting what
15 you said?

16 DR. SHREWSBURY: Close. So what we're doing
17 is actually targeting the skipping of different
18 exons within the pre-mRNA when it is actually going
19 through the spliceosome in the nucleus and actually
20 generating the messenger RNA. So we are using the
21 same backbone and a different sequence of bases
22 just to target different exons.

1 DR. LESKO: Has any other regulatory agency
2 other than FDA considered this class designation
3 idea?

4 DR. SHREWSBURY: We have yet to raise that
5 question with some of the other agencies. But as
6 I've indicated, we have now completed one clinical
7 study in the U.K., and so we will be talking to the
8 U.K. agencies about this issue.

9 DR. LESKO: And just to clarify on your
10 comments regarding mechanistic biomarkers -- I
11 think it was question 1 -- you were talking about
12 subsetting. Right? And in cases where these drugs
13 fail, sometimes that's simply a case of wrong
14 subsetting.

15 So how do you propose in this kind of
16 scheme, especially for the muscular dystrophy where
17 there are no cures -- how do you figure out how to
18 correctly subset so that when you have an effective
19 drug, it actually is shown to be effective?

20 DR. SHREWSBURY: And thank you for that
21 because that was a good point from your talk.
22 These are very clearly defined subsets. So we know

1 with our lead product, which skips exon 51, we know
2 exactly which genetic deletions we can actually
3 target to skip exon 51 and restore the reading
4 frame. We know that actually giving that oligomer
5 to children with different deletions will not have
6 any effect. And so we would specifically not use
7 it for treating different genotypes.

8 However, again, we would obviously have to
9 work very closely with the geneticists to identify
10 the right candidates for the right individual
11 oligomers up front.

12 DR. LESKO: Right. And just my last
13 clarifying question so I understand your concept.
14 So the qualification or validation or those
15 subsetting biomarkers are done on the basis of --
16 certainly there's a hypothesis. Then is there a
17 small efficacy study or some sort of small dose-
18 response study? How do you actually say, yes, this
19 a good biomarker for subsetting?

20 DR. SHREWSBURY: Well, the biomarker would
21 be the expression of the novel dystrophin. So you
22 can actually look at -- and indeed, we have done

1 that. We've looked at animal models. If you give
2 the wrong oligomer, you do not generate the missing
3 protein.

4 DR. VENITZ: Dr. Cote?

5 DR. COTE: If I could just make one brief
6 comment. Our office has considered this very
7 particular example and discussed it with the review
8 divisions at CDER and the leadership at CDER. It
9 is complex indeed. There is an urgency for
10 producing cures for children with muscular
11 dystrophy. They are dying. And once one of these
12 oligomers is shown to be effective, if indeed that
13 is what is going to occur, we can already foresee
14 that there will be a hue and cry on the part of
15 parents whose children have a different exon for
16 that.

17 Having said that, what you're asking for is
18 a complete and radical paradigm shift on the part
19 of the agency to say that one product, which has
20 one target, is equivalent to another product, which
21 is chemically different in sequence and targets
22 another product.

1 So I think that everyone -- the one thing
2 that we can all agree to is that the race is on to
3 find the first one that works. Let's do that
4 first.

5 DR. VENITZ: Any other comments?

6 [No response.]

7 DR. VENITZ: Thank you, Dr. Shrewsbury.

8 Then let me invite our last open public
9 hearing speaker, please.

10 DR. STOCKS: Mr. Chair, committee members,
11 thank you for the opportunity to address the
12 committee today. My name is Jim Stocks. I'm a
13 professor of medicine at the University of Texas
14 Health Science Center at Tyler in East Texas, or at
15 least I am till the next Texas budget is
16 established.

17 I'm a pulmonary internist with an academic
18 career that's been focused upon clinical research,
19 and drug development in particular. My special
20 interest and experience has been in alpha-1
21 antitrypsin deficiency.

22 I am here today as an advocate of the alpha-

1 antitrypsin deficiency medical and patient
2 communities. In particular, I'm currently the
3 chair of the Alpha-1 Foundation's medical and
4 scientific advisory committee, and the foundation
5 requested that I speak today.

6 Alpha-1 deficiency in its most severe form
7 is a genetic hereditary condition that leads to
8 decreased circulating levels of the protein alpha-1
9 antitrypsin, and significantly increases the risks
10 of serious lung disease in adults and liver disease
11 across the spectrum of ages. Severe deficiency
12 affects over 100,000 individuals here in the United
13 States.

14 The pathophysiology of alpha-1 is that while
15 the aberrant alpha-1 proteins are expressed in the
16 liver, they are largely unable to be transported
17 outside of the liver and into the bloodstream, from
18 where the anti-inflammatory benefits of the protein
19 are realized.

20 The awareness of alpha-1 disease state and
21 the association with lung disease dates back to
22 1963, when the serum protein electrophoresis

1 technique was first being developed. The
2 deficiency state is currently viewed as the leading
3 identified genetic risk factor for COPD.

4 As a pulmonologist, I have spent much of the
5 last 25 years subject to the bias of this history,
6 believing that serious alpha-1 liver disease was
7 primarily a problem in children and only affected a
8 small overall subset of those with this genetic
9 deficiency state.

10 As a clinical investigator, I have been
11 involved in the development of all of the currently
12 available plasma-derived medications for the
13 treatment of lung disease due to this genetic
14 condition. But having succeeded in helping to
15 bring to the therapeutic table a menu of options
16 for the treatment of lung disease in alpha-1, I am
17 now finding myself humbled by the nature of the
18 condition.

19 These now-available drugs have been able to
20 slow the progression of lung disease. But while my
21 patients may enjoy longer and less lung-disabled
22 lives, I am thwarted by the recognition that

1 virtually all of them are now faced with the
2 reality of progressive liver disease, liver disease
3 such as in hepatitis, cirrhosis, carcinoma of the
4 liver.

5 Having spent this last quarter-century
6 developing pulmonary therapeutic agents, I am
7 embarrassed to realize that while four new
8 pulmonary alpha-1 drugs have been developed in this
9 career, not a single agent is yet available to
10 treat the liver condition, the true underlying
11 problem in alpha-1 deficiency.

12 Here as a representative of an advocate of
13 the medical and research community, I applaud the
14 agency in its efforts to address the difficulties
15 of orphan drug development. I and my colleagues
16 are very much aware of the issues and difficulties
17 of detection and education across the medical and
18 patient communities as to rare conditions.

19 I would ask that this agency continue its
20 pursuit of drug development tools such as the use
21 of biomarkers and innovative trial designs. I
22 would also ask that we remain focused on

1 facilitating the marriage of academics and
2 industry. Our citizens need this help now, and our
3 children will certainly need it in the future.

4 Thank you.

5 DR. VENITZ: Thank you, Dr. Stocks.

6 Any comments or questions by any of the
7 committee members?

8 [No response.]

9 DR. VENITZ: Okay. It does not appear that
10 way. So that concludes, then, the open public
11 hearing.

12 So the open public hearing portion of this
13 meeting has now been concluded and we will no
14 longer take comments from the audience. The
15 committee will now turn its attention to address
16 the task at hand.

17 That doesn't apply because our task at hand
18 is lunch.

19 [Laughter.]

20 DR. VENITZ: So much about reading scripts.
21 So our task at hand is lunch. It's now 12:30 or
22 thereabouts, so let's reconvene an hour from now,

1 at 1:30 -- 12:30, I apologize. I'm on a different
2 time zone. So let's reconvene at 12:30 for the
3 discussion and the voting on the questions. Thank
4 you.

5 (Whereupon, at 11:22 a.m., a luncheon recess
6 was taken.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

1 A F T E R N O O N S E S S I O N

2 (12:31 p.m.)

3 **Committee Questions and Discussion**

4 DR. VENITZ: I'm officially reconvening the
5 meeting. According to our agenda, we now have
6 plenty of time to comment and discuss the preceding
7 presentations.

8 I realize that I had to cut some of you
9 short, so this is your time before we start getting
10 into the questions that we have to discuss and vote
11 on. Are there any discussion items, any follow-ups
12 to the presentations that we've had a chance to
13 listen to?

14 DR. RELING: Is Jim Cloyd back? No? I
15 guess I was intrigued by what Dr. Cloyd mentioned
16 about what some of the challenges are for
17 repurposing drugs. And I think he was getting at
18 some of the issues that arise when drugs are only
19 generically available.

20 It was also touched on briefly earlier in
21 the morning. One of the main challenges that we
22 have in pediatric oncology, particularly, but for

1 any "orphan disease," is the drug shortage problem
2 in the United States. And for many of these drugs
3 that are only generically available, there's no
4 incentive for companies to make these drugs
5 available because of the lack of profitability, and
6 there's very little authority for FDA to even
7 require that the shortages be reported, and
8 essentially no authority that anything be done
9 about the drug shortages.

10 So I guess I would just like to raise this
11 issue of one of the big challenges, I think, for
12 any orphan drugs with these very small markets is,
13 even for the drugs that do make it to the approval
14 stage, their continued availability is a huge
15 problem, over 200 drugs unavailable in the last
16 year.

17 DR. VENITZ: Does anybody on FDA's behalf
18 want to comment on this?

19 DR. LESKO: Not on that per se, but just a
20 follow-on question to that. The drug shortage area
21 or drugs being unavailable, I assume when you were
22 saying that it wasn't because of any issues

1 associated with manufacturing or raw material
2 characterization. That would be kind of a
3 regulatory problem, so to speak, as opposed to
4 simply we're just not going to make more drug
5 available for business reasons.

6 I'm just trying to think about what's behind
7 all of that drug shortage. There are drug
8 shortages because of manufacturing problems, I
9 guess is what I'm thinking about, and how many of
10 those are related to that.

11 DR. RELLING: But my understanding is that
12 many of those manufacturing problems are attempting
13 to comply with FDA regulations, and they're often
14 in response to an FDA audit or visit that raises
15 questions, many times preemptively shutting down
16 production in order to avoid further problems.

17 I'm perfectly aware that it's not within a
18 lot of what the purview of the FDA's regulatory
19 capability is at this point, but I think it should
20 be considered to be part of the FDA's regulatory
21 authority because it's really damaging our ability
22 to deliver effective drugs to children with life-

1 threatening illnesses, and adults with cancer in
2 general.

3 DR. VENITZ: Dr. Giacomini?

4 DR. GIACOMINI: Yes. One thing that wasn't
5 raised today was the idea of -- I understand that
6 when drugs are approved in Europe, for example, we
7 also then have to approve those drugs here.

8 So what I didn't know, was there any link
9 with orphan drugs, for example, that may be
10 approved in Europe, and whether we could fast-track
11 those here or do something in a more expedited way
12 to enhance their approval here.

13 DR. LESKO: Yes. That's a good question. I
14 wish I had numbers on that. The numbers would be
15 how many products were approved in Europe and then
16 came to the United States. And I'm thinking of
17 other areas where this might be done, and usually
18 what's involved is not reinventing the development
19 program, per se, but doing some sort of bridging
20 study, the bridging study typically being related
21 to how much we understand the drug and the disease
22 and whether or not something like pharmacokinetics,

1 PD, or something like that would do the job.

2 I think the other issue would be the
3 etiology of the disease, are there any differences,
4 if you will, in terms of genetic drivers of a
5 disease in this population or this region of Europe
6 versus the United States?

7 So I think there's a systemic way to think
8 about that; that sort of has been thought through
9 the ICH process for bridging studies for ethnic
10 differences. So there's probably some lessons
11 learned there that could be applied here. What I
12 don't know is how many times -- I don't know if
13 Dennis is here, somebody that's looked at our
14 database -- how many times we've actually used a
15 bridging study to approve an orphan drug for a rare
16 disease in the United States. Maybe Anne might
17 have some insight. I don't know.

18 DR. PARISER: I don't have a number on that,
19 either. But most of the time, most drugs -- and
20 I'm not sure of the percentage exactly, but most of
21 the time drugs are approved here and Europe. It's
22 really more the exception when it's one way or the

1 other. And a lot of times companies will come in,
2 maybe not simultaneously but close in time, with an
3 application. And sometimes Europe's a little
4 quicker, and sometimes we are. But the majority of
5 the time, it's not the case.

6 DR. GIACOMINI: It would just seem like this
7 is a great opportunity for very much global
8 collaboration in all the different countries to
9 expedite that.

10 DR. LESKO: I think, as Tim may have said
11 this morning, when you have such small populations.
12 They generally come from all geographic areas to
13 begin with. So you're not going to have a lock on
14 the marketplace in, say, a European region or Japan
15 or something like that, and then come to the United
16 States.

17 But I'm thinking of our ICH experience,
18 where products are approved in different countries.
19 And I can't readily think of something in a rare
20 disease that was done that way, although I bet
21 there are some in the area of, say, oncology, where
22 there's been an approval for a particular cancer in

1 one region but not in the other, and we somehow did
2 something.

3 DR. VENITZ: Dr. Cloyd?

4 DR. CLOYD: Dr. Cote has just come in, so
5 I'm going to defer to the distinguished gentleman
6 from Washington, D.C.

7 But, Tim, the question had to do with
8 collaboration between the U.S. and Europe with
9 regard to approval of orphan drugs. But you might
10 want to say just something quickly about the
11 processes for designation in the two areas.

12 DR. COTE: Okay. Sure. I'll mention
13 designations first off.

14 The Europeans and we are very, very close.
15 We talk to each other every month on a monthly
16 conference call. I go over to the COMP, the
17 Committee on Orphan Medicinal Products, usually two
18 or three times a year, and they come and play
19 exchange student with us for at least a week in the
20 summer.

21 We do regularly discuss applications that
22 have come before us that have interesting twists

1 and turns. We do share a common application form.
2 That is mostly symbolic because we have two very
3 different application processes. We have compared
4 our decisions on applications that have been
5 received on both sides of the Atlantic, and in fact
6 the concordance rate is quite high. In excess of
7 90 percent of the time, when they say no, we say
8 no; they say yes, we say yes.

9 There are important differences, some of
10 which stem from our differences in legislation, our
11 controlling rules, but most of which actually are
12 just grounded in the way that reasonable people in
13 different places could look at the same information
14 and come to different conclusions.

15 So there is a great deal of cross-
16 fertilization there. Two independent processes.
17 In the United States, a single person is empowered
18 with making that decision; that's me. In Europe,
19 it's a communitarian effort in which there is a --
20 people fly in from 40 -- 40 different people fly in
21 from many different countries into London once a
22 month and have a big discussion. And I think those

1 are reflective of our cultural differences between
2 the two sides.

3 But the point is, it is a shared community,
4 and we work very closely with them on designations.

5 DR. VENITZ: Dr. Mager?

6 DR. MAGER: I just had a quick follow-up
7 question regarding actual approval of orphan drugs,
8 actually. In thinking about what additional
9 information might be useful in the development life
10 cycle, I was wondering what the causes of attention
11 were for orphan drugs, and are those causes similar
12 to drugs in the traditional pipeline or not?

13 DR. COTE: The most appropriate person to
14 answer that would be to my right, Dr. Anne Pariser.
15 She'll speak to you later, but if she wants to
16 address it now, she's more than welcome.

17 DR. PARISER: Yes. Actually, I don't think
18 we have that data. I don't think that's been
19 looked at separately. But as Tim has said before,
20 the orphan designation usually comes pretty early
21 in the process. So, I mean, there's just going to
22 be a certain number of those that just don't work.

1 But some critical questions that it would be
2 nice to know and we just don't have this right now
3 is, are we losing people for financial reasons? Or
4 they just -- as Dr. Cloyd brought up earlier, they
5 can't do the GLP animal talk study or something.
6 Are those addressable problems? And,
7 unfortunately, we just don't know.

8 DR. VENITZ: Dr. Lertora?

9 DR. LERTORA: Yes. A comment regarding the
10 general issue of drug repurposing, and of course,
11 as it may also apply in the area of rare diseases,
12 and that is that, of course, we have preexisting
13 data that may help us in terms of understanding
14 basic pharmacokinetics and safety information.
15 And, of course, we have a dose range that has been
16 used in the original indications. But the
17 potential problem and challenge, of course, is that
18 the effective dose for the new indication may be
19 entirely different. And I think that's an issue
20 that needs to be kept in mind in terms of exposure-
21 response relationship studies that are applicable
22 to repurposing drugs for rare diseases and in

1 general.

2 A case in point, one example of drug
3 repurposing research done at the NIH clinical
4 center has to do with tamoxifen, which has actually
5 been studied and shown to be effective in patients
6 with severe bipolar disorder.

7 Now, who would have thought of tamoxifen in
8 terms of impacting bipolar disorder, but the study
9 has actually been done, and the doses that were
10 shown effective in this special group of patients
11 is significantly higher than the typical dose in
12 the context of breast cancer.

13 DR. VENITZ: Dr. Mayer?

14 DR. MAYER: I just wanted to follow up on
15 Dr. Cloyd's comment about for N-acetylcysteine, I
16 believe, where he said what difference would it
17 make in the end for a repurposed drug? Why don't
18 you just run the study, and if you have dosing
19 recommendations, that may be just as good as the
20 higher hurdle of an FDA approval.

21 So I think that's one route to go to, at
22 least for repurposed drugs. We do it all the time

1 for compounds that have been approved, just to get
2 publicity, to get a new -- not a new indication,
3 but just to get a note out there for the real
4 world.

5 DR. VENITZ: Dr. Cloyd?

6 DR. CLOYD: Well, now I have two comments.
7 You know, pharmacotherapy is replete with
8 circumstances in which we're giving medications
9 based on well-done clinical studies that were
10 published in reputable journals. Is that the same
11 level of scrutiny that one gets when submitting to
12 the FDA? And I submit to you that it is not. And,
13 therefore, it may be at a lesser level of quality.
14 And we ought not to settle for a lesser level
15 unless we have to.

16 So your point is right. We'll do that
17 because we have to; at least let's hope it's a
18 well-controlled clinical trial. But I don't think
19 it's the optimal way.

20 My other comment has to do with Dr. Bashaw's
21 presentation, and it comes from the slide on
22 Carbaglu orphan development paradox.

1 Dr. Bashaw, you state that in terms of the
2 clinical pharmacology profile, the number of such a
3 study was small, but relative to the target
4 population, it was relatively high. Now, this
5 brings up a very interesting question.

6 In rare diseases, we study a very high
7 percentage of patients with a disorder relative to
8 drugs for common disorders. Let's say there are a
9 thousand people with the disorder. We may study 50
10 of them, or even a hundred. That's 10 percent of
11 the population.

12 So if it applies to clinical pharmacology
13 that we think we know more about the clinical
14 pharmacology in a target group, even with a small
15 number, what can we say about efficacy? Do you
16 need to apply the same statistical standards when
17 you're sampling 10 percent of the population, or
18 25, or 50?

19 DR. VENITZ: Do you want to respond?

20 DR. BASHAW: Sure. I think you raise the
21 challenge. Certainly, I think we've also got to
22 look at -- and it was brought up earlier -- that a

1 lot of these therapies, especially in the Carbaglu
2 case, the NAGS deficiency, is very targeted. It's
3 targeted to what you need there. So the response
4 is quite dramatic. It's quite impressive. So you
5 can easily see a change.

6 Now, certainly it does get into the issue of
7 small clinical trials, the science of small
8 clinical trials and innovative design, and so
9 you've got to take the benefit-risk metric. You've
10 got to look at these factors.

11 In terms of P values, et cetera, I'm not a
12 statistician. I won't get into that. But I think
13 that the FDA does have a recognition of the need
14 for the patients and the population, and the fact
15 that, yes, for these very small trials, you're not
16 going to have hundreds of patients available to
17 you. Even if they were, they're not -- they're
18 geographically -- diaspora; it's all over. You're
19 not going to have them in local centers. You may
20 have -- you talk about international trials with a
21 few patients here and a few patients there and all
22 the statistical complications.

1 But getting back, if I could digress
2 slightly, to the literature issue, very much using
3 literature articles, certainly it's a different
4 level of scrutiny. And when a sponsor comes into
5 the FDA and they are using literature as primary
6 evidence, we ask them to go to the authors and get
7 the primary data, to have that submitted to us.

8 Most of the authors are quite happy to
9 because they understand the value of the drug to
10 the patient population, such that we say we'd use
11 the literature, but the fact is, we've actually
12 gone down and drilled down into the underlying data
13 sets itself, not just looking at, boy, it's a nice
14 six-page article in Annals of Internal Medicine,
15 but actually seeing what were the numbers behind it
16 and doing our own independent analysis of it.

17 DR. VENITZ: Dr. Reed?

18 DR. REED: Thank you, Chair. Actually, I
19 was going to start in a different area, but I will
20 opine on what we were just talking about now. And
21 that is even though we are sampling a much larger
22 percentage of a population, one might question the

1 greater degree of heterogeneity within that
2 10 percent of that population versus another
3 disease process, which is going to lead me into the
4 comment that I wanted to make. And that has to
5 do -- about as we begin to think about study
6 design.

7 I've heard stated at least once, if not
8 twice, this morning that one of the things that we
9 learned through the FDAMA and BCPA process is that
10 there are certain diseases in children in which the
11 expression and the etiology, the process, is
12 identical to adults. And in looking at therapeutic
13 design, it's really focused on exposure
14 relationship.

15 I would like to pose to the committee that
16 in fact what FDAMA and BCPA has taught us is that,
17 in fact, the diseases may be different in how they
18 express, how they manifest. And we have seen that
19 with asthma, we clearly know that with GERD,
20 relative to age, and potentially some other chronic
21 disorders in children.

22 I bring that up because I think we should

1 think very hard about the dose-effect type of
2 strategy design and how rich that gets us where we
3 are targeting for that individual patient.

4 Furthermore, the importance of the registry
5 comments I don't think we can overemphasize. If
6 all of a sudden we are now modulating a previous
7 process that had early mortality, and now we're
8 growing through that because of our advances in
9 therapy, we don't even know what that expression
10 may be, as well as dose, which further underscores,
11 in my opinion, the need of a dose-effect strategy
12 that may need to be continually addressed as that
13 child ages, not just because of body size but
14 because of expression of the disorder.

15 DR. VENITZ: Dr. Barrett?

16 DR. BARRETT: I'd like to actually build on
17 what Mike just said, too, particularly when you're
18 talking about the heterogeneity of some of rare
19 disease populations.

20 You know, Dr. Garnett's presentation was
21 wonderful in terms of showing the tools. And I
22 think clinical trial simulation is something that

1 definitely should be explored with greater vigor in
2 this area.

3 But one of the areas I think it's absolutely
4 essential to be part of those models is an
5 understanding of disease progression because
6 therein lies I think where some of the
7 heterogeneity actually falls, and also reflects the
8 fact that these patients present at different
9 stages, and they are not all -- even though the
10 prevalence may be an N of a certain size, their
11 response may be less than desirable because they're
12 at different stages of the disease. I think you
13 can look at failures in many of these past trials
14 because the patient has already progressed far
15 enough along where they were not going to benefit
16 from the different targeted strategies.

17 So I think we need to take a look at the "to
18 be enrolled" population in that sample size
19 relative to the disease progression because they
20 come into these trials, perhaps, at different
21 stages, and that's also tied into why some of them
22 don't work. So I think that would be a big

1 advantage in using or maximizing this tool set.

2 Having said that, I think again the
3 situation of dealing with the small sample size is
4 an issue. And I don't know, Dr. Bashaw. When I
5 looked at your decision tree -- I think we all
6 recognize the difficulty in the fact that all of
7 these are unique situations. But at some point
8 there should be I think some guidance that talks
9 about what is the available population, at what
10 stage can you define what that N is? Because I'm
11 sure there are sponsors in the audience who are
12 still struggling with the fact that the medical
13 reviewers are still giving them guidance that they
14 need to study bigger and bigger populations, when
15 in fact it may be more difficult to enroll them
16 than was originally thought at the surface.

17 DR. VENITZ: Dr. Bashaw?

18 DR. BASHAW: Yes. I'd like to go back to
19 that. Certainly, the FDA, when we presented the
20 straw man here, it definitely was a straw man. We
21 weren't trying to say this was a do-all, end-all.
22 And like I said, there's nothing new. I mean, if

1 you ask people and say, well, how do you develop
2 these kind of things, they would take you down
3 those same tacks. But it's -- hey, it really is
4 the pediatric, just dressed up. It's the oncologic
5 model dressed up a little bit, such that we need to
6 use these.

7 Certainly, as mentioned by Dr. Cote, the FDA
8 is embarking again this year on its training course
9 for rare drug review for reviewers. And so we're
10 trying to internally have these kinds of dialogues.
11 And the AC meeting today -- not to keep blowing our
12 horn here -- is to have this discussion with the
13 community and to get everybody to come to give us
14 their best input such that we can then go back and
15 help start the process of writing guidances, of
16 writing these kinds of white papers, if it be, that
17 can advance this discussion more.

18 Because we can't -- if we're still looking
19 at development, well, you've got to have 500
20 patients, you've got to have 600 patients, it's not
21 going to happen. It's not going to happen for a
22 myriad of reasons which we all know.

1 So that's, again, I think, the value of this
2 discussion here today, and we'll move on.

3 DR. VENITZ: Dr. Relling? Okay.
4 Dr. Collins?

5 DR. COLLINS: I think the FDA has got
6 incredible examples of their flexibility of small
7 sample sizes and completely novel designs that
8 wouldn't have been even considered under other
9 circumstances. I mean, the record is just very
10 clear on that.

11 I think, if we go back to Dr. Cote's opening
12 remarks about how much he learned in medical school
13 from rare diseases, I think we could actually go
14 the other way around in drug development, is that
15 the experience with these designs and the success
16 of the program calls into question -- or it's a
17 laboratory. Let's just say it that way. It's a
18 laboratory for trying things that we wouldn't
19 ordinarily do in the course of business.

20 When you have a patient population of a
21 million available, you don't think of the most
22 efficient design, the smallest design. You've been

1 forced to do that as pragmatism, and I think there
2 must be some great lessons learned from approving a
3 drug with 28 patients or 9 patients or -- that just
4 must be applicable to the ordinary course of
5 business.

6 Particularly with the other point we made
7 this morning about with personalized medicine,
8 there are going to be, at least arguably, an
9 explosion in the number of rare diseases. You're
10 going to have to be more efficient. You don't have
11 the staff. You don't have -- the workload's going
12 to be high. The work flow is going to be
13 difficult. You're going to have to be flexible in
14 ways you never imagined.

15 I think you have the experience. I mean,
16 you have enough approvals with out-of-the-box
17 designs and sizes that that ought to feed forward
18 to the ordinary stuff that's going to be shrinking
19 as a part of your workload.

20 DR. VENITZ: Dr. McLeod?

21 DR. MCLEOD: To follow up on that, I was
22 wondering whether the degree of benefit has been

1 quantitated in the approvals to date out of your
2 office, Dr. Cote.

3 The reason I'm asking is that often in more
4 common diseases, the big trial can be because
5 there's a good prognosis and you're trying to find
6 a rare event; or it can be because you're trying to
7 find a small level of benefit that meets a
8 predefined threshold, but really doesn't make a lot
9 of difference.

10 We have some those -- you know,
11 Pinker's (ph) cancer is full of examples where
12 drugs have been approved based on clinically
13 meaningless but statistically significant figures.

14 So I think one of the lessons learned might
15 be that you're seeing odds ratios of 20 every time.
16 And if we set the bar at a clinically meaningful
17 level, maybe we could do small trials in common
18 diseases, too.

19 DR. COTE: Thank you. I think that both of
20 the two previous comments, Dr. Collins' and yours,
21 are quite related in terms of what are the lessons
22 learned in this laboratory. And one lesson learned

1 is when you've got a really good drug, you don't
2 have to work so hard. You know? When you've got
3 something that really --

4 I was reading a report the other day, just
5 in the medical literature, of a gene therapy report
6 of a cure for beta thalassemia. And, you know, if
7 that's a real report, if it's a real true one and
8 you've got electrophoretic results to show that you
9 actually cured somebody who had beta thal, maybe
10 you only need one person to prove the event. I
11 mean, how do you do a clinical trial for the
12 efficacy of parachutes saving you from jumping out
13 of airplanes?

14 So I think that the real lesson is when
15 you've got a really good drug, all of these nuances
16 about -- all of the things that we spend most of
17 our days thinking about, these methodologic issues,
18 are taken care for us.

19 DR. VENITZ: Dr. Thummel?

20 DR. THUMMEL: Yes. I wanted to take it
21 maybe in a slightly different direction, and it
22 related to the decision tree for new molecular

1 entities. And this is addressed to Drs. Bashaw and
2 Garnett and perhaps Dr. Lesko.

3 I noted with regard to drug interaction
4 studies, renal disease, liver disease, numbers at
5 least that you put in there were relatively low
6 compared to the number of molecules being studied.

7 So my question is, was that a conscious
8 decision to either delay or simply not conduct
9 those studies, and does the agency really have a
10 flexibility, in thinking about prioritization, to
11 delay these, perhaps even to post approval on a
12 case-by-case basis? So just clarity with regard to
13 that.

14 DR. BASHAW: I'll take the first stab at
15 that. Of course, that requires going back into the
16 mind of the reviewers of that time, in the past
17 five years. But I think what it was is a
18 recognition of the need for the products out there.
19 And it may be the situation where in that patient
20 population, in the stage they were treating, it
21 wasn't a factor you hadn't had. I think that's one
22 of the points that was ably brought up.

1 You know, you have an orphan disease that
2 maybe the life expectancy is three to five years.
3 Now you've got a therapy that now makes it 10 to
4 15 years. I know when I started practice, cystic
5 fibrosis, you didn't see many patients reach 21.
6 Now you see them into their 40s, and you're seeing
7 now they've got other things going on. The same
8 thing with the orphan diseases. The ones who
9 didn't get out of infancy, now they're getting
10 older and older and you're seeing other ravages.
11 You're seeing these other effects.

12 Basically, coming back to the question, it's
13 a combination of seeing -- in those patients either
14 renal or hepatic wasn't a presenting issue, that it
15 was felt that the need for the drug -- I mean, a
16 lot of the studies were there for drug-drug
17 interaction. There were in vitro studies. But the
18 limitations of the screen and projection, I
19 couldn't slice 20 columns up there. I thought the
20 table was overwhelming as it was.

21 But there were in vitro drug interaction
22 studies that were done according to our guidances

1 that did provide us supporting information. But,
2 basically, it was an attempt to -- you look back in
3 your read reviews and you look at the
4 administrative record. It was attempting to
5 balance the benefit-risk ratio, and looking at the
6 historical norms for that patient population and
7 disease state and saying, this is what we need to
8 do right now, and then, again drawing on other
9 questions and speakers, the importance of the
10 registries.

11 Because trying to get that -- now that we're
12 modulating the disease, now its natural course is
13 going to change, and everything that's written in
14 the textbooks and the Merck manuals are now out of
15 date because now we're going to have different
16 patients who are now getting older, and they're
17 going to start having other things happening to
18 them that we need to follow long-term.

19 So it's a long answer to your question. I
20 hope it helped.

21 DR. VENITZ: Dr. Mager?

22 DR. MAGER: I wanted to bring up a slightly

1 different decision tree, and that was the decision
2 that a company might make in deciding to develop a
3 large molecule versus a small molecule towards a
4 new druggable target.

5 I was really struck by the presentation by
6 Dr. Cote about the \$400,000 per-patient per-year
7 treatment that you'd mentioned in your
8 presentation, and the concern that there could be
9 populations that we're talking about that won't end
10 up being able to afford the therapies that are
11 being developed.

12 I was wondering if the agency is considering
13 any changes to the regulatory approval process that
14 could potentially influence the decision tree that
15 a company might take to decide to make a large
16 molecule versus a small molecule.

17 I was actually going to ask that as a
18 follow-up to Dr. Mundel, and I don't know if Dr.
19 Mayer would like to comment from an industrial
20 perspective. But I think between the two -- and I
21 don't know what could be done. I assume that large
22 molecule is still often favorable because of the

1 wide therapeutic margin that's often available.

2 But is there an opportunity, for example, to
3 work with patient advocacy groups to actually
4 redefine these safety windows and look for ways to
5 encourage small molecule such that the patients
6 will be able to afford these medications?

7 DR. COTE: Thank you for your question. You
8 remind me of a case example that Dr. Kakkis, if
9 he's still here, put before us. There he is.

10 Do you remember, you put before us the
11 question of Kuvan versus a large molecule and what
12 would a drug company choose. I don't remember
13 if -- we were speaking to some academic group at
14 the time. And it is a good question.

15 Your other question was, is the agency
16 considering revising its regulatory framework. I
17 do know that the agency is right now under revision
18 of all of its regulation. There's a massive
19 regulatory second look going on right now that our
20 Commissioner, Dr. Hamburg, has sent us all on, and
21 I think that that's a good thing, and we're doing
22 that.

1 But specifically with regards to these
2 particular issues of orphan product regulations, I
3 don't know if something with regards to our
4 regulations, for example, on designations, is
5 under -- we're reviewing them and trying to make
6 them as less cumbersome as we can.

7 Referent to an earlier question about --
8 you're asking about access, about patients getting
9 access to a drug. And earlier there were questions
10 brought up about do we even need FDA approval. And
11 I would contend that yes, FDA approval is the means
12 through which access is best delivered.

13 I do know that there are off-label
14 practices. I do know that the FDA doesn't regulate
15 the practice of medicine, which includes off-label
16 practices. But in order for patients to be
17 reimbursed, in order for the world to know that
18 this is an FDA-approved drug, this means -- what
19 does that mean when you say it's an FDA-approved
20 drug? It means that somebody's looked at it hard
21 and has decided that it is safe and effective. It
22 has a meaning that you can't get in any other

1 place. So I think there will always be a role for
2 that in public health.

3 DR. VENITZ: Mr. Goozner?

4 MR. GOOZNER: Two comments. I feel like I
5 would like to comment. One is that there are two
6 types of orphan drugs. There are the type that
7 have marginal efficacy, and those are -- I think
8 you described it earlier today as it's like the key
9 going in the lock. I mean, when Roscoe Brady
10 discovered the enzyme that cured Gaucher's disease,
11 it's because it was the missing enzyme, and when
12 you have that kind of situation, it's very clear.

13 But I think it is instructive to take a look
14 at what's been going on in the world of oncology,
15 where you have marginal drugs that got approved in
16 single-arm trials through accelerated approval.
17 And now, when they go back, they're finding very
18 often that the actual efficacy wasn't there. And,
19 in fact, the Oncologic Drugs Advisory Committee,
20 which met in early February, advised the FDA, the
21 oncology division, that it ought to move towards
22 two trials wherever possible.

1 So they're moving in the sort of opposite
2 direction, and I think that that needs to inform
3 the Orphan Drugs Division as it takes a look at
4 this. And I think that the split really is between
5 whether or not the drug is that slam-dunk.

6 I mean, a single-arm trial with a surrogate
7 marker makes perfect sense in, say, an enzyme
8 replacement situation. But where you're trying to
9 mediate a possible cascade of events inside the
10 body that is triggered by something where you're
11 going to have potentially marginal efficacy, then
12 you would have a different standard. It's very
13 easy, I think -- it's not easy to turn this into a
14 black or white question.

15 DR. COTE: Thank you for that. And I'd have
16 to agree with much of the content of what you said.

17 I would just also add that there are some
18 products which are somewhere in the middle, that
19 it's not all a binary question of the slam-dunks
20 versus the marginal one or two more months of life
21 for pancreatic cancer. And even in oncology, I
22 would take the example of thalidomide, for example,

1 which is the standard of care, and its daughters,
2 thalidomide's daughters, that have resulted in the
3 standard of care for multiple myeloma, a disease
4 for which there really was no therapy when I went
5 to medical school, and for which there is now.

6 DR. VENITZ: Let me make a couple of
7 comments, and then I'll do one final round.

8 Let me maybe redefine orphan disease a
9 little bit. I mean, right now, the way it's
10 defined for purposes of the regulations, it's a
11 disease that has a very low prevalence. But I
12 think the discussion has also made it clear that
13 it's usually a serious disease. And something that
14 I don't think we've discussed a whole lot, most of
15 the time there are very few, if any, alternative
16 treatments available.

17 Okay? So there's a high degree of unmet
18 need, which is obviously something that if you look
19 at the big picture, not just the clinical
20 pharmacology side of it, should affect the way the
21 risk-benefit gets assessed as part of drug
22 development.

1 So I think you have to look, in my mind, at
2 least, beyond a little bit these decision trees
3 that were put in front of us. And we heard the
4 term accelerated or conditional approval a few
5 times. And that to me seems to be a role or an
6 approach that has a significant role in the
7 development of drugs for orphan diseases that have
8 very little alternative treatments.

9 That means there has to be some giving on
10 the FDA's end as far as the proof to support that
11 there's efficacy and equally on the safety side.
12 And I would add to that something that you've heard
13 before, that a lot of those orphan diseases, there
14 are advocacy groups behind, and lots of them have
15 registries. So you have a very captive audience in
16 terms of the long-term safety that you could do or
17 could get a sponsor to commit for postmarketing
18 purposes.

19 So, in my mind, a lot of the issues that we
20 are talking about beyond just the clinical
21 pharmacology really deal with not how we develop it
22 but how much proof do we need prior to approving

1 them in a conditional way, perhaps, and allow
2 companies to market them.

3 I would make the argument -- and I guess I'm
4 making the argument -- that there should be some
5 leniency on the agency end as it relates to putting
6 conditions on postmarketing development, not
7 premarketing development, which is the paradigm
8 that we use for the non-orphan diseases.

9 The other thing that relates more to the
10 technical issues, a lot of those advocacy groups
11 have medical boards associated with them. Usually
12 those are the people that actually -- the
13 physicians and healthcare providers that actually
14 see those patients.

15 That's an invaluable resource, and again, a
16 captive audience that I think should be taken
17 advantage of as it relates to designing those
18 studies, not only from a scientific point of view,
19 but from an ethical and feasibility point of view;
20 as well as, in my mind, one of the biggest issues
21 in orphan diseases, coming up with meaningful
22 endpoints. I'm not talking about biomarkers that

1 we all like in clinical pharmacology, but I'm
2 talking about endpoints that convince the medical
3 staff at the FDA that there's a benefit, not just a
4 potential benefit.

5 So, again, I would urge that that's being
6 taken advantage of, that there is some interaction,
7 formal or informal, with those advocacy groups in
8 the specific orphan disease areas to discuss what
9 can be done to help drug development.

10 Okay. And I think one last round before we
11 start questions. Dr. Mayer?

12 DR. MAYER: To Dr. Mager, I scratch my head
13 with prices. Being from industry, I scratch my
14 head as well with some of the prices for some of
15 these compounds. But just because of the mechanism
16 of action, they're almost going to be proteins
17 rather than small molecules, and that's several log
18 scales in difficulty, so the prices are really
19 commensurate with how complicated the molecule is.

20 DR. VENITZ: Dr. Cloyd?

21 DR. CLOYD: As a participant in drug
22 development for quite a while, I've come away with

1 the impression that at the time that product labels
2 are negotiated, there is a general tendency to make
3 prescribing information simple rather than
4 difficult. And that may be driven by the industry.
5 It may be driven by regulators. That I don't know.

6 But today I think we made a case for making
7 prescribing information complex in order to
8 maximize efficacy and safety. And so I ask this
9 group, is there a barrier to putting forward
10 complex prescribing information for rare diseases,
11 because I would assert that the clinicians
12 prescribing these drugs are capable of handling
13 complex prescribing regimens.

14 DR. VENITZ: Dr. McLeod?

15 DR. MCLEOD: So coming back to the clinical
16 trial design aspects, we talked a little bit about
17 odds ratios or what is the level of benefit in
18 there. But one of the things that we -- and we
19 didn't talk about economics and some of these
20 things that we're not allowed to talk about in
21 terms of the FDA process.

22 But one of the things that I would love to

1 see brought in a little bit more transparently is
2 the role of the level of willingness for risk by
3 the patient population. And that's something that
4 we don't tend to talk about. You're shaking your
5 head, so I think you guys think about it all the
6 time. But it really needs to influence the study
7 design a little bit more. And maybe it does behind
8 the curtain at the FDA. But there certainly is a
9 higher level of risk in many of these extremely
10 rare diseases, where people are willing for
11 virtually anything, and in some cases will take
12 their children to foreign lands to have unspeakable
13 things injected into them.

14 So these sorts of levels of willingness and
15 levels of risk, I guess, need to be put forward,
16 because often we seem to take a common disease
17 safety and efficacy framework and try to put it
18 into something where the risks are much greater.

19 DR. VENITZ: Dr. Reed?

20 DR. REED: Mr. Chairman, I'd like to expand
21 on one of the comments you made, and that had to do
22 with aggressively embracing or enlisting the

1 medical expertise of the specific rare disease
2 organizations. And I think that cannot be
3 overstated, particularly as it links into a long-
4 term registry.

5 As you stated, Mr. Chairman, about these are
6 the specialists that are most likely going to be
7 continuing to care for these individuals, I think
8 embracing them early is very important. Number
9 one, one can begin to establish even standardized
10 physical assessment, data collection, type of
11 evaluations that, for these diseases, much of this
12 is through various critical periods.

13 Now that electronic medical records are
14 maybe -- being legislated is not the right term in
15 this forum, but secondarily being legislated, and
16 recognizing the availability of those within the
17 next two to three years in almost all quadrants, it
18 would seem to me collecting this data and tracking
19 it needs to be put into the vision of new paradigms
20 going forward. Thank you.

21 DR. VENITZ: Dr. Caldwell?

22 DR. CALDWELL: Actually, it sounds like the

1 Michaels are on the same page here. I was just
2 curious as to the thoughts that have gone into
3 setting up some sort of postmarketing requirements
4 that actually -- because these are small numbers of
5 patients that are being treated -- that actually
6 collects those data as a part of the approval
7 mechanism, so that we -- I think many people would
8 feel a lot more comfortable, because of the
9 vagaries of assessing efficacy and safety in small
10 numbers of patients in these trials, if we knew
11 that there is an ongoing observation of what's
12 actually happening with these medications in these
13 patients over time. And it also helps us to
14 understand progression of disease as well.

15 DR. VENITZ: Do you want to comment,
16 Dr. Cote?

17 DR. COTE: The one piece of information I
18 can add to that is there was a recent analysis by
19 the Tufts group on drug development with regard to
20 REMS being highly over-represented among orphan
21 products in recent years. So at least on that
22 safety metric, REMS are very frequently employed in

1 orphan product approval processes.

2 DR. VENITZ: All right. Are there any
3 additional comments before we move to the
4 questions?

5 [No response.]

6 DR. VENITZ: Okay. Then can we have the
7 first question? I think we have a total of five or
8 six questions. Two of them are voting questions.

9 So the first one that our FDA colleagues
10 want us to discuss is in front of you. It's
11 related to the mechanistic understanding of disease
12 and response markers.

13 Any comments by any of the committee
14 members? Dr. Mager?

15 DR. MAGER: I guess I'd refer back to
16 Dr. Lesko's presentation that he briefly mentioned
17 the work that's ongoing for multi-scale modeling
18 and mechanism-based approaches for understanding
19 drug safety. I would think that those methods
20 would be very useful in leveraging preclinical
21 biology and pharmacology and pathophysiology, and a
22 focus clearly on the biology of the system that

1 would allow better extrapolation across scales of
2 organization.

3 Of course, I'm biased. But, in any event,
4 you cite very nicely the utility of
5 physiologically-based modeling approaches for
6 pharmacokinetics and its utility in understanding
7 or projecting PK under different conditions. And I
8 think that multi-scale modeling of data on that
9 level, on the molecular and cellular level, will
10 provide a bridge for that, help identify meaningful
11 biomarkers. We could probably have another meeting
12 on what we mean by meaningful biomarkers, but to
13 help to identify targets, meaningful biomarkers.

14 Then also, the idea again of combination
15 products, I think if we're going to think about how
16 to integrate or to provide a mechanism for
17 combination products, I think, again, multi-scale
18 modeling is really the main mechanism for being
19 able to evaluate the emergent properties of the
20 system.

21 DR. VENITZ: Dr. Barrett?

22 DR. BARRETT: This question says "how" as

1 the first word. So my comments really address the
2 how. I brought a paper with me. This is in Nature
3 Reviews Drug Discovery 2003, by David Horrobin.
4 And the title of this is, "Modern Biomedical
5 Research: An Internally Self-Consistent Universe
6 with Little Contact with Medical Reality?"

7 Now, just bear with me a second. So the
8 beginning of the abstract says, "Congruence between
9 in vitro and animal models of disease and the
10 corresponding human condition is a fundamental
11 assumption of much biomedical research, but is one
12 that is rarely critically assessed."

13 So I think we're kindred spirits in that we
14 recognize the value of biomarkers. We recognize
15 the value of animal models. But in terms of the
16 how here, what I think I'd like to see the FDA
17 embrace is really critically verifying whether or
18 not these preclinical data and animal models are
19 predictive, particularly in rare diseases.

20 There's no shortage of publications, and
21 there's a replication of this fact over and over
22 again. But I was struck by this paper when I read

1 it, and I highly encourage folks to take a look at
2 it. It's a little bit more critical. He's
3 definitely more the glass is half empty kind of
4 author. But I think some of it is really very well
5 deserved.

6 I think the one point that he makes here at
7 the end of this is, "Is it really too much to think
8 that a direct assault on human disease by studying
9 humans might be at least as productive as the
10 massive investment in the investigation of
11 unvalidated animal or in vitro models?"

12 Now, that's, I think, overly critical for
13 sure. But it comes back to the points that we were
14 making here about maximizing the clinical
15 experience with these rare diseases and pulling
16 this information out of the caregivers who are
17 treating these patients to articulate disease
18 progression as best that we can, to understand it
19 from the time of onset through its progression.

20 Then in this case we can use the preclinical
21 data to verify, either through study designs or
22 actually target investigations, one, can we come up

1 with an approach that is more meaningful; and, two,
2 before we keep repeating this over and over again,
3 where do we see the value? And then maximize that,
4 and where we don't, just change things. Do
5 something different.

6 DR. VENITZ: Dr. McLeod?

7 DR. MCLEOD: So during Dr. Garnett's
8 presentation, you mentioned a number of examples
9 where you took adult data -- or I don't know if you
10 mentioned preclinical data, but I guess the same
11 would apply -- , and modeled it, and then gave
12 recommendations to the sponsor for how to move
13 forward.

14 I guess in the context of how, one of the
15 big issues that is unclear to me is, do people want
16 help? And often those that are developing drugs
17 are not necessarily asking for help. Certainly
18 some of the -- I live in an area where there's a
19 lot of small biotechs, some of which are developing
20 orphan drugs. And the last thing they want is
21 help, especially from the FDA.

22 So I think part of it is making it clear

1 that help is available, and part of it is by
2 showing those examples in forums that have metrics
3 like time to approval and issues that really
4 matter. Because, as with all of us, help is
5 available for all aspects of our life, but rarely
6 do we ask for it. I think part of the way to
7 answer this question is showing that the models
8 that are out there can go forward.

9 Now, a number of the products that are being
10 developed, there is no prior art. You can't really
11 model them, and you're stuck there with the
12 mechanism, mechanistic approaches that have been
13 used in the past. But where there are examples, I
14 think maybe you push that a little more.

15 DR. VENITZ: Dr. Cloyd?

16 DR. CLOYD: There may be a variety of
17 options in terms of deriving what I would call
18 preclinical information that can inform the design
19 of clinical trials. And I'll talk about clinical
20 trials in just a second.

21 But an example about which I know something
22 is canine epilepsy, dogs with seizures. It's not a

1 model of epilepsy; it's clinical epilepsy. The
2 electroencephalographic signatures are identical.
3 The clinical symptomatology is the same. Drug
4 response is the same, including refractory, drug-
5 resistant epilepsy. And the side effects are the
6 same.

7 So one would wonder if you could find
8 clinical models of the disease in the relevant
9 animal species, could you derive exposure-response
10 relationships that then could be taken into
11 clinical trials?

12 Then, turning to the issue of clinical
13 trials, phase 2 and 3, what would happen if the
14 agency strongly advocated concentration or
15 exposure-controlled trials of an adaptive nature as
16 being viable mechanisms to evaluate efficacy, and
17 would be looked up favorably not only in the review
18 but also in the development of the product label?

19 DR. VENITZ: Dr. Giacomini?

20 DR. GIACOMINI: Yes. I was struck by the
21 presentation with muscular dystrophy, where they
22 looked at the exon skipping. And I was struck

1 because of the fact that the presenter had highly
2 mechanistic, first mechanism data where they
3 actually showed the primary mechanism. In other
4 words, the protein was being expressed on the
5 plasma membrane, and although it may take time to
6 get the clinical response, they had good mechanism.

7 So I feel like, based on what Dr. Cote said
8 early on, that we've learned a lot in a lot of
9 these rarer diseases. We know a lot about
10 mechanism. But it's a very good opportunity to
11 build models upward, starting with the fundamental
12 mechanisms and then building models all the way to
13 the clinical output, and seeing if that can be a
14 way to enhance drug approval processes, et cetera.
15 It just seemed like the mechanism was ahead of the
16 clinical outcome by a lot in this case.

17 DR. VENITZ: I would just give the -- do you
18 want to respond to that? Okay. Go ahead.

19 DR. LESKO: Yes. I just wanted to comment
20 on several of the comments that went around the
21 table And a little context for this question
22 because I think one of the things we'd like to

1 drive towards is a more systemic, more efficient
2 way to develop drugs in this space.

3 I think Don mentioned something that struck
4 me, and it was about attrition in the area of rare
5 drugs/orphan diseases. And I'm not sure we have
6 the information to say that the pivotal trial,
7 whether it be a phase 2 or phase 3, failed because
8 of this, that, or the other thing. I think we need
9 to get that data.

10 But I saw this week a study came out by an
11 organization that does this sort of thing, and they
12 found that at least in general drug development --
13 not rare diseases -- that the reasons for attrition
14 in phase 3 -- 50 percent of those trials failed.
15 That hasn't changed in about a dozen years.

16 The reasons for failure in the phase 3 was
17 in two-thirds of the cases, 67 percent, was
18 efficacy, lack of efficacy or failure to meet
19 placebo or comparator. Twenty-one percent was
20 safety, and 12 percent was other reasons.

21 So you think of those numbers, and it would
22 seem to be, without data in front of me, that that

1 would not hold for rare diseases. In other words,
2 the failure for efficacy should be much less
3 because by comparison, we know -- and I think as
4 Kathy just said -- more about the mechanism of the
5 disease, and the drug being more tailored to the
6 disease than it is with smaller molecules.

7 So if you were to think of what would cause
8 attrition in rare disease and orphan drugs, I think
9 what this question is trying to get to is, how do I
10 sort out three things?

11 One is an ineffective drug, and maybe
12 there's a set of studies that would be done to sort
13 that out very quickly, maybe a dose-response study
14 to begin with.

15 A poor strategy, so would a trial fail in a
16 rare disease because I picked the wrong patients,
17 or I picked the wrong endpoints, or I powered the
18 study the wrong way, and started thinking about
19 what can go wrong in this area, with efficacy being
20 a given. So what else could go wrong? And then
21 the third reason, of course, is the financial
22 reason that people talked about.

1 So I can imagine a road map that would look
2 at this and say, I don't need to worry about
3 efficacy very much as a reason for attrition
4 because the drug should work mechanistically. But
5 I do need to worry about a failure of a good drug
6 because of the wrong strategy and the wrong study
7 designs, and begin to fill in the blanks about what
8 the right strategy should look like for an
9 effective drug.

10 That's not to say they'll all be effective.
11 There's going to be some failure. But I think we
12 can detect those pretty quickly in a relatively
13 few. I mean, when Trevor was speaking this
14 morning, I think he said 4 patients with Muckle-
15 Wells. It was almost a perfect model; all of them
16 responded. So efficacy wasn't an issue. The next
17 question was, how do I get the dose right going
18 forward, and how do I worry about safety in a
19 unique way?

20 I think maybe that's the construct of this,
21 to think about how to de-risk the attrition that is
22 going to occur after the proof of efficacy concept

1 study is done, what kind of information will do
2 that.

3 DR. VENITZ: Let me comment on that, which
4 is what I was going to do anyway. And that has to
5 do specifically to this question, which gets beyond
6 just the other claims (unclear) of pharmacology
7 because you're talking about phase 2 and phase 3
8 studies.

9 I think what you're talking about is the
10 more you understand the biology and the more you
11 understand the pharmacology of the drug, the better
12 you pick your biomarker, and your biomarker is
13 going to predict disease progression. And I think
14 we saw some nice examples earlier today. But how
15 often is that the case? Is that truly
16 representative of orphan drug development? I don't
17 know the answer to that.

18 So my caveat that I was going to raise here
19 is the potential disconnect between the biomarkers
20 that we can measure and help us perhaps with those
21 recommendations, and the disease progression that
22 ultimately has to provide a signal for clinical

1 benefit.

2 DR. LESKO: It would seem that there are two
3 things at work. One is the disease pathophysiology
4 and the other is the drug mechanism of action. It
5 would seem that any molecule introduced for a rare
6 disease should have a reasonably credible
7 hypothesis for a mechanism of action. So it kind
8 of leaves the disease pathophysiology as maybe the
9 weak link, as it might be in, say, cancer or
10 something like that.

11 So getting to the biomarkers that are the
12 right ones for a disease state, that is
13 differentiating biomarkers that are maybe
14 prognostic versus those that are predictive, or
15 maybe even thinking about the reverse causality of
16 biomarkers that could be confounding in trying to
17 figure out a disease, you know, this is really
18 where maybe the intellectual piece ought to be
19 focused, more on the disease as opposed to the
20 drug.

21 Now, when Trevor presented it, and a couple
22 other examples, it was pretty clear the mechanism

1 of action was well hypothesized over expression of
2 IL-1 or something like that. But the question is,
3 is that the right biomarker for the disease
4 progression? And I think that's something that we
5 need to think about in this question.

6 DR. VENITZ: Any further comments to this
7 question? Yes, Dr. Reed?

8 DR. REED: I agree about the importance of
9 disease progression because we are -- you know, we
10 are most likely perturbing a process now that, at
11 least as I said before, for fatal diseases we've
12 not seen progress. And so we are going into
13 unknown.

14 But Dr. Lesko, I would comment on the recent
15 paper you reviewed with the 67 percent lack of
16 efficacy. I think we have many examples when we go
17 back that we have not performed our basic dose-
18 response studies properly.

19 We don't know -- and particularly in
20 pediatrics, we don't know what that range is. And,
21 unfortunately, we are oftentimes anchored in
22 pediatrics by an arbitrary adult ceiling dose that,

1 because of the nature of age and the disease
2 difference, may have no relationship; which then
3 comes back to, I feel, the importance of the dose-
4 effect strategy, where you will then go forward and
5 see how you're going to perturbate the process
6 across any dose range.

7 DR. VENITZ: Any final comments to that
8 question before we move on?

9 [No response.]

10 DR. VENITZ: Okay. Then let's move on to
11 the second question. And the very first one is a
12 voting question, so let's discuss it first to make
13 sure that we all understand what it asks, and then
14 I go on my script again or the task at hand.

15 Do you want to review it for us, Larry?

16 DR. LESKO: Maybe this will help just give a
17 context because we're trying to anchor a direction
18 to go forward, from the advisory committee forward.
19 And the two questions under topic 2 are really
20 related to maybe a lower-hanging fruit or an easier
21 situation, when you have a repurposed drug with a
22 fairly large body of information on prior

1 information, and the second case where you have the
2 new molecular entity with some uncharted
3 territories on the basic work about the molecule.

4 So we're trying to start with something we
5 know in these questions. So in the first case, it
6 talks about repurposing being analogous to the
7 pediatric situation, where we have some prior
8 information that's significant. But, of course,
9 there are differences; it's adult versus pediatric.
10 And over in the other case of rare diseases, it's
11 one indication versus another. So they're not
12 exactly alike. But they're similar enough, we
13 think, to lead us to the next step of a systemic
14 approach to drug development.

15 The second case, the new NME, really gets
16 into a critical part of our discussion today
17 because it addresses the threshold of information
18 that would be optimal for a drug for a rare
19 disease, given our limitations that we've talked
20 about today, and ways of addressing information
21 that is not there at the time of drug approval.

22 So they're two different scenarios, if

1 people can imagine it. In the second case, we
2 tried to use the oncology drug development model
3 for the second case, where you may not have the
4 full package of clinical pharmacology studies, but
5 what would you need? It's not the Cadillac, it's
6 the Corolla, or something along those lines, and
7 what would the Corolla look like, although that's
8 expensive these days, too.

9 So that's being said. That's just creating
10 a context for that, and any input on this would be
11 very valuable for our next phase of discussion.

12 DR. VENITZ: Okay. Then can we go back to
13 2-1? I don't think I have to read it. Let me go
14 on script so you guys can get ready to vote.

15 We will be using the electronic voting
16 system for this meeting. Each voting member has
17 three voting buttons on your microphone, "Yes,"
18 "No," and "Abstain." Once we begin the vote,
19 please press the button that corresponds to your
20 vote. You will have approximately 20 seconds to
21 vote. After everyone has completed their vote, the
22 vote will be locked in.

1 The vote will then be displayed on the
2 screen. I will read the vote from the screen into
3 the record. Next, we will go around the room, and
4 each individual who voted will state their name and
5 vote into the record, as well as the reason why
6 they voted the way they did.

7 Any questions about the process?

8 [No response.]

9 DR. VENITZ: Okay. I have to read the
10 question into the record.

11 "Are the drug development paradigms for
12 regulatory approval of pediatric and oncologic
13 drugs well suited as model processes for
14 repurposing of approved drugs for new rare
15 diseases/orphan drug indications, and for providing
16 the substantial evidence of efficacy-clinical
17 benefit needed to meet statutory standards for
18 orphan drugs?"

19 Any question about the question?

20 [No response.]

21 DR. VENITZ: Then I'll open the vote. So
22 you now have 20 seconds to press a button.

1 [Voting.]

2 DR. VENITZ: We're still waiting for the
3 Jeopardy music.

4 Let's go around the room. Everybody please
5 state your name, the vote that you gave, and any
6 reasons that you might want to explain. Let's
7 start with Dr. Giacomini.

8 DR. GIACOMINI: I guess you can guess what I
9 voted. So I'm Kathy Giacomini, and I voted yes. I
10 was impressed by some of the presentations today on
11 the different methodologies that were being used,
12 the modeling and simulation, the single-arm trial,
13 the dose escalation. So I thought the methods were
14 great. I voted yes.

15 DR. THUMMEL: Ken Thummel. I voted yes, for
16 essentially the same reasons that Kathy stated. I
17 think there are enough useful paradigms and
18 approaches that have been developed for pediatric
19 and oncology that would apply for ordering.

20 DR. LERTORA: Juan Lertora. I voted yes.
21 And I was essentially persuaded by the information
22 and the discussion that took place this morning in

1 terms of the potential utility of these model
2 processes to guide drug development for orphan
3 diseases.

4 DR. HARRALSON: Art Harralson. I voted yes.
5 And I just think it's a more reasonable way to look
6 at the whole process, and I think it's a great step
7 forward.

8 I do have some concerns about modeling and
9 that sort of thing. I love modeling; I've been
10 doing that for a long time. But I wonder to what
11 extent, if the FDA is advising, that they become
12 vested in the model they're advising as opposed to
13 the sponsor bringing the model to them. But maybe
14 we'll discuss that more later. Thank you.

15 DR. CLOYD: I voted yes. Jim Cloyd. And I
16 did so because it appears that a large percentage
17 of the information available on a repurposed drug
18 will be applicable for rare conditions, with the
19 following caveat, that unique aspects of the
20 disease or the patient population may require
21 special studies, as well as those drugs that have
22 been inadequately studied but approved, and they,

1 too, may require additional studies.

2 DR. MCLEOD: Howard McLeod. I voted yes. I
3 think that the data from both industry and
4 regulatory presenters made it clear that there is a
5 path forward that works. The exception would be
6 for drugs that no longer have a primary sponsor,
7 where I think there's still some work to be done.
8 But for the question that was posed, I thought yes.

9 DR. MAGER: Don Mager. I voted yes. I
10 think we've had plenty of examples showing that
11 these paradigms are useful for this purpose.

12 In terms of the question, new types of data,
13 I don't know if we need new types of data. I think
14 the bigger problem is that we have the tools and we
15 have the approaches. It's the point that
16 Dr. McLeod pointed out, that they often don't ask
17 for that help. And it's really how do we bring
18 those tools to the folks that need them as opposed
19 to types of data, I think.

20 DR. VENITZ: Jürgen Venitz. I voted yes.
21 It was a no-brainer.

22 DR. COLLINS: Jerry Collins. I voted yes.

1 In adult oncology, the vast majority of clinical
2 work that's done is for supplemental NDAs for
3 already-approved drugs. So they're essentially
4 being repurposed, and that's the largest effort
5 that goes on there.

6 Typically, it's a single-arm trial instead
7 of -- a single phase 3 trial instead of multiple
8 ones. There are essentially only rare cases where
9 someone wants to reconsider. And accelerated
10 approval -- out of 50 accelerated approvals,
11 10 percent, or 5, have reached a point where the
12 sponsor or the FDA thinks that it should be -- the
13 accelerated approval should be withdrawn in
14 oncology. So that's a risk of 10 percent that most
15 folks are willing to accept. And, of course, in
16 pediatric oncology, essentially every drug is a
17 repurposed adult oncology drug.

18 So the NCI is filing or is licensing the
19 data for someone else to file an NDA this year for
20 a drug that has a target population of 400 patients
21 in pediatric oncology. That's very rare, that
22 there's ever a primary indication for pediatrics.

1 MR. GOOZNER: I'm Merrill Gozner. I'm the
2 consumer rep. I also voted yes, obviously. And
3 Jerry stole actually most of the things that I was
4 going to say, except that I would put a slightly
5 different spin on it, which is to say that when you
6 use the oncology paradigm, what came up at the
7 recent Oncology Drugs Advisory Committee was that
8 very often it's hard to get companies to follow
9 through and do some of the after studies that are
10 being require. And this becomes an issue once
11 drugs are out there on the market.

12 That's something that you should take into
13 account, especially if you're talking about
14 validating surrogate markers and, you know, getting
15 people to actually do the registries or to do the
16 follow-up studies to make sure that the drugs are
17 having the effect that the mechanism of action
18 suggests they would.

19 DR. REED: Mary Relling, and I voted yes. I
20 agree with what's been said. I'll reiterate what
21 Dr. Giacomini said, that I think, whenever
22 possible, the FDA should capitalize on data

1 generated from other countries.

2 We have an example in pediatric oncology of
3 Erwinia asparaginase that's still not approved in
4 the United States, although it's been used for 20
5 or 30 years everywhere else in the world. So I
6 think there are plenty of examples where we could
7 do a better job of taking advantage of foreign
8 data.

9 DR. CALDWELL: Michael Caldwell, and I voted
10 yes. And my biggest concern was not that we would
11 be able to demonstrate efficacy with these types of
12 study designs, but that we would have problems with
13 truly evaluating safety because of the small
14 numbers of patients that are involved. But when
15 I've thought through this and sort of did some
16 mental calculations, using repurposing techniques I
17 think is extremely useful in this regard because
18 the adverse events would have to be -- for you to
19 be able to even see them in the orphan trials,
20 would have to be so high that they'd be clearly
21 obvious in the other trials. So, as stated
22 earlier, it should be a no-brainer.

1 DR. REED: Michael Reed. I voted yes. I
2 concur with Michael to my right, and the chair as
3 well, that I think it's a no-brainer. In
4 particular, capitalizing on what we've learned from
5 our colleagues in oncology about rigorous dose-
6 response assessments, and pushing that dose -- and
7 again, I'll put the plea particularly in
8 children -- without having a preconceived bias of
9 an artificial dose ceiling and the presence of
10 continued efficacy and in the absence of dose-
11 limiting side effects.

12 I think that strategy we've learned very
13 well from our colleagues in the oncology realm that
14 can be brought into this process.

15 DR. BARRETT: Jeff Barrett. I voted yes.
16 Again, as has been pointed out, there's significant
17 overlap in these populations, so there's no reason
18 to invent a new wheel. And I think the process is
19 wonderfully flexible, so it does encourage the
20 dialogue with the FDA. And I think you have an
21 opportunity to use this process to deal with
22 special cases on an as-needed basis. So it is a

1 no-brainer.

2 DR. VENITZ: Okay. Thank you. For the
3 record, the final vote was 14 in favor and no
4 abstentions and no votes against.

5 All right. Let's move to the second part of
6 the second question. That's a discussion question,
7 so here we are not talking about repurposed drugs,
8 but we are talking about NMEs.

9 Does anybody want to make any comments in
10 response to the question that's in front of you?

11 DR. COLLINS: I would make a pitch for just
12 prioritizing the clinical pharmacology studies that
13 you want to do, that I think there should be some
14 more flexibility in terms of which clinical
15 pharmacology studies are most important preapproval
16 versus post-approval.

17 In the Argatroban study, there were 293
18 subjects were used. And, at least in my opinion,
19 the dose concentration response curve for pediatric
20 patients is still not very adequately
21 characterized, at least in the published data.
22 Maybe the unpublished NDA data is better, but in

1 the published data, it's certainly not very
2 convincing. And certainly that's the whole reason
3 we do all clinical pharmacology studies, is to get
4 dose concentration and response right.

5 DR. VENITZ: I would second that. And I
6 would add, especially the usual special population
7 and drug interaction studies would have to be
8 approached with caution in terms of making them
9 preapproval requirements. Those are the kind of
10 things, if they really end up being important other
11 than being another check-off, they could, in my
12 mind, at least, be put into a postmarketing
13 commitment. So really identify -- as Dr. Collins
14 just said, what are the pieces of information PK/PD
15 that you need, preapproval, in support of efficacy,
16 and everything else might be postponed, so to
17 speak.

18 Dr. McLeod?

19 DR. MCLEOD: Some of the points that
20 Dr. Reed made some earlier discussion were about
21 the disease progression. And I think that's a part
22 that is already taken into account, but needs to be

1 highlighted, in that there are some of these rare
2 disorders where organ dysfunction is something that
3 occurs in a fairly common basis as disease
4 progresses. Others, it's more CNS-based
5 deterioration and the organs are just fined.

6 So bringing that into account would also
7 help with the prioritization that Dr. Collins and
8 others have mentioned, where it may be that organ
9 dysfunction studies prior to approval would be key
10 in some disease states, whereas that could be a
11 postmarketing event later, and I think that's an
12 important issue.

13 The same with things like food effect. I
14 think there's a number of areas where GI stasis and
15 the liver blood flow, et cetera, are influenced by
16 disease and need to be taken into account.

17 So I get the impression that the agency has
18 the flexibility to change the prioritization based
19 on the dynamics of the disease. But I think it
20 needs to be more explicitly stated in future
21 guidance, et cetera.

22 DR. LESKO: In thinking about, again, a

1 context for this point of discussion, what we had
2 been thinking about is, yes, risk-based, priority-
3 based recommendations on clinical studies. But the
4 question comes up, what would be those studies that
5 could adequately be done in healthy volunteers
6 versus those that would be done in patients, and
7 could we transfer that knowledge from healthy
8 volunteers to patients and say something in
9 labeling?

10 So, for example, if you had a new molecular
11 entity for a rare disease, you could conceivably
12 recommend that a renal impairment study be done,
13 and then use that information to transfer to a
14 dosing in the patient.

15 For example, like Dr. Mundel pointed out,
16 Muckle-Wells sometimes leads to severe renal
17 impairment. Okay. Then how do you adjust the
18 dose, albeit in a small population, for that
19 patient population, and can that knowledge then
20 come from a healthy volunteer study and be
21 transferred to the label for the purposes of
22 dosing?

1 So that's kind of one of the contexts for
2 this point.

3 DR. VENITZ: Can I just respond to that?
4 The counter-argument would be, though, if you have
5 some kind of a marker that you use to address dose
6 anyways, maybe you don't need that information. So
7 it depends on how the drug is given. Are you going
8 to give everybody the same dose, or are you going
9 to individualize it based on some marker that
10 you're measuring? If that's the case, then you may
11 not be worried about those extrinsic and intrinsic
12 factors.

13 DR. LESKO: The other angle on this that
14 we've been -- and we discussed it last year in
15 terms of in silico modeling, the physiological-
16 based modeling, where you can make predictions
17 about drug interactions or predictions about the
18 effects of impaired renal function without doing
19 the study that are, depending on the circumstances,
20 reasonably accurate.

21 So that could also be another angle to this
22 to say, look, ordinarily I might want to confirm

1 this, but given what we know and given our history
2 and working with PD/PK, we can make some
3 predictions and possibly include that in the label
4 as an alternative to actually going out and doing
5 the study.

6 So I think there's another innovative
7 thought in thinking of it that way. And then the
8 question becomes, under what circumstances can I do
9 that?

10 DR. VENITZ: But I would make the argument
11 as long as your label reflects the evidence,
12 meaning either you have no evidence how to adjust
13 it or you've got some models that suggest you
14 should or shouldn't adjust it, you're fine. And
15 that's why I made the argument earlier on to really
16 work closely with the medical groups that work with
17 those orphan disease advocacy groups.

18 DR. LERTORA: Mr. Chairman, I would like to
19 concur with your previous suggestion that drug-drug
20 interaction studies may be considered for
21 implementation premarketing or postmarketing. And
22 of course, it's being done with a case-by-case

1 analysis.

2 But if I may, in relation to that, to ask a
3 question. In Dr. Bashaw's presentation -- and I'm
4 looking at slide number 10 where we had
5 informational content of NDAs and BLAs -- I was
6 struck by the fact that there was no -- none of the
7 13 BLAs that were cited in this table had any drug-
8 drug interaction data.

9 As I'm sure you're aware, there are
10 published data in terms of potential significant
11 interactions in terms of biologics and small
12 molecules in terms of drug metabolism and perhaps
13 also transport.

14 So would you comment on that? I mean, what
15 is the standard requirement here in terms of
16 biologics with regard to drug-drug interactions?

17 DR. BASHAW: Well, that's exactly right.
18 When you look at the applications that were
19 approved in that time slice, there were not. Those
20 you're referring to, the classic pharmacokinetic
21 drug-drug interactions, were oftentimes for the
22 biologic agent. The actual biologic halftime in

1 the plasma is so short and so little that it's
2 undetectable. So the classic level go up, level go
3 down isn't seen.

4 Now, there were -- in the clinical trial
5 database, again, they're trying to get very clean
6 patients for the clinical efficacy-safety studies
7 where they weren't on concomitant therapies, but we
8 all know they would be in real life. And that is a
9 problem. We don't have a specific recommendation.

10 I mean, look at -- Dr. Huang may want to
11 speak on recommendations for biologic drug-drug
12 interactions.

13 DR. HUANG: I'm not sure about, in the
14 survey, whether we talk about whether there are
15 drug interaction information in the submission or
16 whether there are drug interaction recommendations
17 in the labeling, because for some biologics, if we
18 know there are certain cytokines, cytokine
19 antagonists, we will put in the labeling about the
20 possible interaction based on previous information.
21 And we will put it in the labeling without specific
22 studies. They could be generated from other drugs

1 in the class.

2 So some of other drugs may not be orphan
3 indication, but because of similar mechanism -- so
4 we put in information about warning of giving --
5 for example, this drug may affect CYP3A substrate,
6 so be careful when you use this drug with CYP3A
7 substrate.

8 So I'm not sure whether the survey indicates
9 whether there are data available. But we have
10 increasingly included the information about
11 biologic interaction in the labeling of biologics
12 without having actually conducted a study.

13 But based on what we know today about some
14 of the cytokine effects on certain CYPs and
15 transporters, we started to have asked, post-
16 marketing, either commitment or requirement studies
17 to help us to give more actionable labeling
18 recommendations. But I'm not sure whether the
19 survey indicated the labeling for studies.

20 DR. BASHAW: No. The survey, because of the
21 mass -- when you started thinking about going
22 through the clinical pharmacology reviews of 33

1 NDAs and look at what -- that's quite a -- this cut
2 that is presented in the slide you're referring to
3 is looking at what was submitted, what was the
4 totality of information submitted by the sponsor.

5 Now, what may have been additional
6 information learned from other drugs or what we can
7 discern, we developed the clinical trials program
8 that translated into actionable labeling, that is
9 not included in that table, and that's a good
10 follow-on for us as we continue on.

11 We're continuing with this survey and this
12 research. We're still looking at the numbers. And
13 I'll be very honest with the committee; the next
14 time you see those numbers, they're probably going
15 to change a bit because we're going back and
16 reassessing what was submitted again.

17 There is some education here as to was this
18 trial used, was it not used, et cetera. But we can
19 certainly add into our database, just add a little
20 more to it.

21 What eventually made it into the label as a
22 good follow-on, we'll take that suggestion very

1 strongly. Thank you, sir.

2 DR. VENITZ: Dr. Barrett?

3 DR. BARRETT: Yes. I think in terms of
4 flexibility, clearly the agency wants to achieve
5 the highest regulatory standards they can. So the
6 issue really is at that first stage of the decision
7 tree, will healthy volunteers be a reasonable
8 population to extrapolate into your rare disease?

9 If the answer to that is yes, then certainly
10 that opens up more possibilities for a clinical
11 pharmacology package that allows you to have the
12 actual experience in a relevant population. But
13 where the answer is no, I think that's where the
14 flexibility comes in.

15 So specifically we know that some
16 populations are not otherwise healthy, and
17 particularly some of the neurodegenerative disease,
18 where patients are sitting or they're immobile, and
19 we have some prior knowledge that the
20 pathophysiology is not the same. So extrapolating
21 from a healthy volunteer population may not be as
22 meaningful.

1 Having said that, with the advances in
2 in silico techniques and modeling, we can adjust
3 some of these parameters to get some idea of what
4 the expected performance is. And I think it gives
5 us an opportunity, working with some of the
6 caregivers and these registries, to actually
7 populate those models with real data coming from
8 the target population.

9 So there's an opportunity, I think, to
10 refine this approach to maximize this information.
11 We don't have to be limited by the difficulty in
12 studying the population, and we can actually
13 leverage the information that's out there and,
14 again, use the best tools at our disposal.

15 DR. VENITZ: Last comment, Dr. McLeod?

16 DR. MCLEOD: So I can't remember from any of
17 the talks from the agency whether -- there was
18 mention about orphan drugs are subsequently
19 withdrawn. And I know there's been at least one
20 case, which I believe was an orphan drug in one of
21 the GI disorders, which was withdrawn and then
22 reintroduced, and I think withdrawn and

1 reintroduced one more time based on various
2 pressures, one of the Glaxo drugs.

3 But how often is this actually a problem?
4 Is there a case where a signal is missed and then
5 subsequently brought back out? So I guess my
6 question is are we worried about something that
7 doesn't seem to be occurring, where there's no
8 excess risk for drug withdrawal, or is there
9 something where the signals are being found later
10 that would cause us to reevaluate the way new drugs
11 are brought forward?

12 DR. COTE: I don't know of many examples
13 where drugs -- or any examples of drugs that have
14 been withdrawn for safety reasons. I do know that
15 there were issues on an orphan status designation
16 being withdrawn for considerations that perhaps --
17 I know that there were circumstances with
18 pancreatic enzymes -- perhaps that's what you're
19 talking about -- which was withdrawn because there
20 was reconsideration as to what the disease or
21 condition was. And it was decided that it was
22 pancreatic enzyme insufficiency rather than cystic

1 fibrosis, but those decisions antedated my arrival
2 at the agency. But those are the only ones that I
3 know about.

4 DR. MCLEOD: This was a hepatotoxicity
5 example with one of the -- I believe it was
6 inflammatory bowel disease drugs. But it may not
7 have had orphan status.

8 DR. VENITZ: Okay. Are we ready to move to
9 the next question? Let's do so because that's
10 another voting question, and I'm looking at
11 Dr. Lesko to maybe set the stage for us so we know
12 what we're voting on.

13 DR. LESKO: I have to -- I don't see the
14 copy here.

15 DR. VENITZ: Let me read it, and then you
16 have a chance.

17 "Do the current drug development programs
18 and clinical pharmacology studies for rare
19 diseases/orphan drugs provide sufficient
20 information on drug safety, that is, benefit-risk
21 ratio, given the limitations that exist to conduct
22 relatively large pivotal efficacy trials with

1 safety data collection?"

2 DR. LESKO: Yes. I'll just try to give a
3 little context to this. We've given a lot of
4 thought to, really, what's been discussed today
5 with the committee, and that is, how do you
6 leverage what you know to minimize the risk of
7 safety? And questions were asked, and good
8 questions asked, about what's been the history in
9 terms of what happens when these products get into
10 the marketplace.

11 I think it really circles back to what kind
12 of information in the current programs or in an
13 enhanced program can minimize and de-risk a
14 compound even more. So, for example, one might
15 think about a program in which a single dose is
16 advanced. That would have a higher risk, let's
17 say, and perhaps the limitations of a small
18 population would be more significant.

19 We haven't talked very much about DNA
20 collection in these trials. It's not surprising in
21 that many of these diseases are in fact genetic-
22 based, but they also in some cases can have off-

1 target effects in which DNA collection may be
2 advantageous to give some insight.

3 So this is really a question to say, here's
4 what we do now. Is it as good as we can do, given
5 the tradeoffs with getting drugs to people that
6 need them, or is there something more we can do in
7 the context of today's drug development programs
8 and as we look forward to the next couple of years?

9 DR. VENITZ: Thank you.

10 Any questions by the committee before I call
11 for the vote? Dr. Reed?

12 DR. REED: Just a point of clarification. I
13 do not know -- is there a requirement now for
14 postmarketing registry or post-approval registry to
15 track, as really we've discussed most of the
16 morning?

17 DR. PARISER: There's no requirement, but
18 it's very frequently done. And I think for the
19 inborn errors of metabolism in particular, it's
20 become pretty routine, that it becomes a condition
21 of approval. And some of these registries are
22 actually pretty longstanding. There's a Gaucher

1 registry, for example, that goes back about 20
2 years. And some of the more recent approvals, all
3 of them have had registries.

4 DR. VENITZ: Any other questions or
5 clarifications? Yes, go ahead.

6 DR. REED: Recognizing the rigor at which
7 the agency approaches what it does, does the agency
8 feel confident going forward having a voluntary
9 process in this, recognizing the importance of
10 disease progression as that goes forward, of either
11 requiring that postmarketing registry, or you have
12 enough confidence that even for new disease
13 entities, the registry will just be voluntarily
14 provided?

15 DR. PARISER: I'll clarify my comment a
16 little bit. There's no regulation that you always
17 have to have one of these things, so it would be
18 something that would be negotiated with the Review
19 Division. But if it is a condition of approval,
20 then it is required, and they do have to do it.
21 It's a postmarketing requirement.

22 So in those situations, it was a requirement

1 of approval. But there's no regulation there that
2 says every time you approve a rare disease drug,
3 you have to have this. But it's done a lot.

4 DR. VENITZ: Dr. McLeod?

5 DR. MCLEOD: So this is question that I'm
6 probably going to get kicked for later. I think it
7 was Larry showed a slide -- or maybe it was Tim --
8 that had a quote from the Faster Cures group saying
9 that it's not the trains, it's the track. And yet
10 when we hear the presentations, it seems like the
11 track's pretty good.

12 So are we missing something? I mean, it
13 seems like the track's in good shape. Is it the
14 trains after all? Can you say in a public forum?

15 [Laughter.]

16 DR. LESKO: I don't know. The trains pass
17 the hotel pretty frequently during the night here.

18 [Laughter.]

19 DR. MCLEOD: Yes. I noticed that as well.

20 DR. LESKO: I think it really boils down --
21 I mean, we sort of talked about this yesterday in
22 the context of personalized medicine, and that is,

1 what is the expectation in the future for medicines
2 to be personalized? When would you know that the
3 genome analysis, the human genome, was a success?
4 It isn't going to be every drug. It's going to be
5 some fraction of drugs. So when do you sort of
6 declare a win and go home?

7 In that context, it's like saying, okay,
8 it's not been too bad. And Tim advocates, you
9 know, with the designations and the number of
10 approvals. Yet, on the other hand, there are still
11 some significant unmet needs and diseases that
12 haven't been addressed, or maybe haven't been
13 addressed well even with approved drugs.

14 So I think it's more of a philosophical
15 question for me that I think we can always do
16 better. We have not seen the tools that Christine
17 presented today, the modeling, the simulation, the
18 thoughtful, systemic development. We haven't seen
19 that.

20 So the question kind of is, if we advance
21 this approach, if we even put it into a guidance,
22 if we bring some efficiency to the process, is that

1 going to take us to the next level? Is that going
2 to make things better? Are we going to have more
3 drugs approved?

4 I mean, I think it's looking down a well in
5 some ways and wishing. But I think it's worth
6 trying, and I think that's why we're sort of
7 advancing it for discussion at the AC as the next
8 step forward.

9 DR. RELING: For clarification, is this
10 question asking whether current drug development
11 programs and clinical pharmacology studies
12 submitted by sponsors are sufficient? Is that
13 what's --

14 DR. LESKO: Putting the question in that
15 context kind of says, has FDA been approving unsafe
16 drugs? So I think the question is more in the
17 standpoint is that it's made the judgmental,
18 flexible interpretation of the regulations to
19 approve drugs. But do people feel more can be
20 done? I think that's the spirit in which to take
21 this.

22 While I have the microphone, I'll address to

1 the chair here.

2 Dr. Venitz, Dr. Cote just indicated he has a
3 flight to catch, so if anybody has any questions
4 that might want to be addressed to him, now is a
5 good time to do it because he's going to catch the
6 train or the plane back to Washington.

7 DR. VENITZ: Any burning questions for
8 Dr. Cote?

9 [No response.]

10 DR. VENITZ: Okay. Thank you, Dr. Cote.

11 DR. COTE: Thank you all so much.

12 DR. LESKO: So getting back to -- I think
13 the best way I can say this is from what you heard
14 and what you read in the background or what you
15 know about your area of rare diseases in oncology,
16 given the tradeoffs that we have with the
17 seriousness of the disease, the unmet medical need,
18 what more do you think we could do to enhance the
19 safety? As some people have expressed there are
20 concerns maybe about can we do a better job with
21 safety, whether it's preapproval, post-approval, or
22 whatever. So we're looking for some kind of

1 innovative thinking here in terms of drug safety.

2 DR. VENITZ: Dr. Lertora?

3 DR. LERTORA: Yes. Again, in terms of
4 clarification as I try to deal with this question,
5 when we talked about the drug development program,
6 do we include conceptually the postmarketing
7 surveillance and potential studies that could be
8 done in phase 4? Because that will help me.

9 DR. LESKO: I think it's good to consider
10 the entire gamut of the life cycle of the
11 medication because, as we see with accelerated
12 approval, there are tradeoffs. We approve a drug
13 on something less than the clinical outcome, and
14 then we look what happens after it's in the
15 marketplace.

16 So I'm thinking in the context of life cycle
17 here. And therefore I would include in your
18 interpretation the postmarketing as well as the
19 premarketing, because certainly we've seen the last
20 couple years with REMS, with PMC's postmarketing
21 commitments --

22 We've taken care of limitations for just

1 general drug development. We've taken care of
2 limitations for huge populations by asking for
3 studies in the postmarketing period to fill in the
4 gaps of information where it was deemed important
5 to know that, but not at the expense of holding up
6 a drug that can benefit people.

7 DR. VENITZ: Dr. Giacomini? Dr. Thummel?

8 DR. THUMMEL: We just want to make sure
9 we're clear on the vote, I guess. It goes back to
10 your question, do we think you've been approving
11 unsafe drugs? I mean, is that the vote? Or is it
12 more, are we going down the right path and -- you
13 know, I'm looking at the second one. If yes, are
14 there specific recommendations for how to improve
15 it?

16 DR. LESKO: I think I could modify the
17 question a little bit maybe to make it easier. And
18 I think the question really is, can the current
19 drug development program and clinical pharmacology
20 studies be improve to bring additional insight into
21 drug safety that would benefit the benefit-risk
22 analysis?

1 Does that help? It doesn't say anything
2 about the current situation, but it does say
3 something about -

4 DR. VENITZ: That's not what the question
5 reads, though. I mean, you're doing surgery on it.

6 DR. LESKO: I'm trying to give an
7 interpretation for the committee.

8 DR. VENITZ: I think we're pretty much stuck
9 with the language the way it is, and you have to do
10 your best judgment to interpret it.

11 Having said that, are we ready to push
12 buttons? Okay. Go ahead.

13 [Voting.]

14 DR. VENITZ: Okay. For the record, we have
15 10 yes, 3 no, and 1 abstain. And let's start to my
16 left with Dr. Barrett. We need your name, your
17 vote, and your rationale.

18 DR. BARRETT: I voted yes. I did. Well, I
19 didn't really understand the struggle here because,
20 to tell you the truth, as we discussed earlier, you
21 look at the drugs that are taken off the market and
22 the ones where dose-lowering was recommended, these

1 were for big trials where we had lots of evidence.
2 So this hasn't been a place in orphan drugs or rare
3 diseases where we have a smoking gun.

4 So maybe that's a combination of the
5 protective nature or the specificity of the targets
6 that we're looking for. But this is not an issue.
7 And I hate to think we need to come up with some
8 additional requirement to give us some perhaps
9 false confidence.

10 I think everyone is very considerate of the
11 fact that patient safety has to be an important
12 issue here, and no one feels comfortable in making
13 decisions based on limited data. That's true.

14 But as we talked about earlier, I think the
15 best thing moving forward, the second part of this
16 question, was to leverage the information about how
17 this population has been performing in the absence
18 of these drugs, and then look at it in a
19 postmarketing sense. And I respect that the
20 process will do that on the fly.

21 DR. REED: Michael Reed. I voted yes,
22 though internally I was in somewhat of a quandary

1 in thinking about this. To qualify my vote, I want
2 to underscore the importance relative to the
3 postmarketing registry, as we talked, and even
4 though it's not codified.

5 As you look at a guidance document, one
6 might consider including in that document to
7 request of the petitioner what is their
8 postmarketing strategy, and if it's limited, to be
9 able to substantiate in their petition why it
10 either shouldn't be done or for such a short period
11 of time.

12 The other thing I would like to caution the
13 agency on that also caused some internal
14 conflict -- I don't want to bring into my brain too
15 much -- is reliance upon the data from non-diseased
16 or healthy individuals. Again, these diseases may
17 be very different than what we're used to seeing
18 with respect to pharmacotherapy and the response,
19 in particular, relative to the process.

20 So, yes, I think it can enrich what we have
21 in that landscape. But we need to temper how much
22 we depend upon that.

1 DR. CALDWELL: Michael Caldwell, and I voted
2 no. I would have voted yes to the restated
3 question by Dr. Lesko, but I voted no to the
4 question that existed.

5 I have two real concerns. I really would
6 like to see a registry and recording of the
7 patients as a part of the approval process. I
8 think it's the way, with small numbers of patients,
9 we're going to learn the most about the process.

10 Also, taking the other side of the safety
11 issue -- and perhaps the agency already does this.
12 But I wonder if at the same time, when you look at
13 these diseases, many of which are fatal at a very
14 early age, if the longevity or likely longevity of
15 the disease is taken into consideration as far as
16 the risk, because patients and their families may
17 clearly accept a higher percentage risk if it's a
18 uniformly fatal disease at a very early age.

19 So I see both -- or just want to make
20 comments on both sides of the safety issue.

21 DR. RELING: Mary Relling. I voted yes. I
22 agree, having postmarketing safety surveillance is

1 probably a good idea.

2 MR. GOOZNER: Merrill Gozner. I'm the
3 consumer representative. I voted no. And it goes
4 back to -- well, part of it's predictive. They
5 quoted Niels Bohr earlier. I thought it was
6 actually Yogi Berra who said the future was very
7 hard to predict -- prediction is very hard,
8 especially about the future.

9 But anyway, my concern has to go with that
10 discussion I had this morning with Dr. Cote which
11 had to do with -- you know, there are many
12 differing types of rare drugs. There are some that
13 are slam-dunks, some that are in the middle, and
14 then some on that long tail that have marginal
15 efficacy. And it's those that I'm most concerned
16 about.

17 I think in an era of increasing emphasis on
18 personalized medicine, the Orphan Drug Act could
19 well become a vehicle for seeing more and more of
20 those types of drugs trying to go through clinical
21 trials and come into the marketplace.

22 So then your benefit-risk ratio is very

1 difficult to know in smaller and smaller population
2 groups. So how do we get there? People have been
3 talking about registries. I'm a big advocate of
4 registries. I think that ought to be a requirement
5 that somewhere along the line, that's certainly one
6 way to go, and other forms of REM-style safety
7 surveillance plans being requirements and going
8 that route.

9 So it's not that it wouldn't be -- I would
10 be yes in probably 90 percent of cases, especially
11 in orphan drugs, but it's the tail that I worry
12 about.

13 DR. COLLINS: Jerry Collins. I voted yes.
14 My spin on all this registry, phase 4 commitment
15 and so forth is that they shouldn't be viewed
16 solely as things are being added onto requirements,
17 but there would also be ways of delaying some
18 studies, getting the approval and the access out
19 earlier. So it could work either way. We
20 certainly need more safety information than we get
21 from 20- and 30-patient studies, but we also don't
22 need -- we could also delay some of the other

1 studies until later.

2 I vote because not that it sounds like a
3 good idea, but because there's actually data that
4 shows that it works. Registries work, and after a
5 few early bumps, phase 4 commitments work. And
6 patient groups are increasingly well-organized, so
7 they're practical. You can actually accrue
8 patients to studies and get them done.

9 DR. VENITZ: This is Jürgen Venitz. I
10 abstained because I don't know the answer to the
11 question that you asked us, and I don't think
12 anything I heard today allowed me to come up with
13 an answer. I would have voted yes if you had
14 submitted your question that you rephrased.

15 So based on what I've seen today, I don't
16 see any major problems with the way things seem to
17 work right now. One thing that I guess I didn't
18 put on the record before, when I looked at some of
19 Dennis's slides, I was surprised about the large
20 number of clinical pharmacology studies. Now, I
21 don't know how many of those were NMEs and how many
22 of them were repurposed. But I didn't expect, for

1 an orphan disease, that that many clinical
2 pharmacology studies would be necessary.

3 DR. MAGER: Don Mager. I voted yes,
4 primarily for the reasons that have been stated
5 already. I was encouraged by the question saying
6 "sufficient" rather than "perfect." And there was
7 a part 2 that allowed us to add, so I felt
8 confident in saying yes here.

9 I just wanted to reiterate again, I think
10 what could be added is active engagement in patient
11 groups and clinicians, not only to define the risk-
12 benefit ratio, but also to perhaps drive safety
13 science as well in terms of drug safety assessment
14 and prediction.

15 I like the example of natalizumab, for
16 example, that was pulled from the market on PML.
17 But then later we then moved the science forward
18 and found factors available to help at least
19 understand the determinants or the potential risk
20 for such drugs. So I think that's a nice way to
21 save a drug. And fortunately for the patients and
22 clinicians, that one was pulled back.

1 DR. MCLEOD: Howard McLeod. I voted yes. I
2 thought I was voting for the process that Drs.
3 Bashaw and Garnett laid out in their talks in terms
4 of having new science to try to do even better than
5 we're doing now.

6 So I think that whether this question was
7 asking that or not, I think that that's a great
8 thing to move forward. And the drug I was talking
9 about earlier was alosetron for irritable bowel
10 syndrome, which had been pulled and brought back,
11 which I don't think was on orphan drug status. But
12 from what we heard from Dr. Cote, there have not
13 been withdrawals in the orphan drug program. And
14 so it gives some confidence -- small numbers,
15 but -- that the current process is at least not
16 adding risk.

17 DR. CLOYD: Jim Cloyd. I voted no. My
18 rationale is that families who have a member with a
19 devastating rare disorder need to have some
20 appreciation for the risk of serious adverse
21 effects. It is possible that we will have study
22 cohorts that number fewer than several hundred at

1 the time of the drug approval. We may miss the
2 occurrence of 1 in 100, or even more frequent, of
3 serious, potentially life-threatening adverse
4 events. Therefore, postmarketing surveillance
5 should not be encouraged. It should be an
6 expectation announced in advance when sponsors are
7 beginning to develop their drug development
8 program.

9 DR. HARRALSON: Art Harralson. Actually,
10 that's the reason I voted yes. I think that you
11 have to look at every situation individually. So
12 each drug has its own risk-benefit ratio. And as
13 Merrill Gozner said, there will be people out on
14 the tails, but I think if you're able to make that
15 decision in each case, you're going to address
16 that, and so it won't slip through.

17 Obviously, for many of these diseases, the
18 real risk versus benefit is not too hard because
19 the severe disability or mortality, that's not a
20 hard decision to make. And so I think it appears
21 that you're absolutely on the right track, and I
22 would be very much in favor of conditional

1 approvals that require certain things that would
2 bring in the information that over time would allow
3 you to make a better decision. And I don't believe
4 you can actually make those decisions in advance,
5 given the number of patients available.

6 DR. LERTORA: Juan Lertora. I voted yes.
7 And as implied by my question before the vote, I
8 believe that the question of postmarketing
9 surveillance in terms of safety signals is very
10 important and should be pursued.

11 DR. THUMMEL: Ken Thummel. I voted yes,
12 heavily swayed by the second part to the question
13 there. Beyond what was already said, obviously
14 agreeing with the critical importance of registries
15 and even the concept of delaying some studies to
16 post-approval.

17 But I would also ask the agency to consider,
18 as they begin to adopt new approaches to trial
19 design, that these be evaluated rigorously as we
20 move forward because I heard a lot today about
21 perhaps changing the way the last number of drugs
22 have been approved.

1 DR. GIACOMINI: I'm Kathy Giacomini. I
2 voted yes. And I agree with the statements that
3 Ken just made, and many of the statements that were
4 made earlier.

5 DR. VENITZ: Okay. Thank you.

6 Then let's move to the next two questions,
7 3-1 and 3-2. So those are discussion questions.
8 And I should point out that we are running a little
9 bit late, so look at those questions and see if you
10 have anything that wasn't mentioned or discussed in
11 any level of detail that you'd like to contribute.

12 So the first one deals with using
13 quantitative methods for repurposed or new drugs in
14 rare diseases. Is there anything that hasn't been
15 mentioned yet that anybody wants to contribute on
16 that level? I'm just asking the committee. Is
17 there anything else that hasn't been discussed yet?

18 [No response.]

19 DR. VENITZ: Is there anybody that wouldn't
20 endorse using quantitative methods?

21 [No response.]

22 DR. VENITZ: All right. Then let's move on.

1 The second one, anything to add to that?
2 Innovative tools, DNA collection, genetic analysis,
3 biomarkers. Anything that we didn't discuss yet?
4 Now is the time to speak up.

5 [No response.]

6 DR. VENITZ: Moving right along, our last
7 task at hand. Any future recommendations for FDA?
8 Anything that we haven't discussed yet that you'd
9 like to mention before I turn it over to Dr.
10 Pariser?

11 Yes, go ahead, Dr. Cloyd.

12 DR. CLOYD: Again, I want to emphasize the
13 reality of drug development in rare diseases. And
14 that is, it is likely the vast majority of drugs
15 that are going to be used in a very vulnerable
16 population will be drugs that are already available
17 and without a functional sponsor. And we have to
18 think about ways to ensure the health of the people
19 who are going to get these medications. And I'm
20 not convinced today that the current procedures
21 ensure safety or efficacy.

22 I don't have an answer, but we can't just

1 ignore the elephant in the room. It's going to be
2 a very common means of treating rare conditions,
3 and that process deserves the same type of care and
4 concern and oversight that we give to treatments
5 for more common disorders.

6 DR. VENITZ: Dr. Lertora?

7 DR. LERTORA: I just wanted to emphasize,
8 and essentially reiterate, the importance of
9 addressing exposure-response relationships for
10 repurposed drugs because we cannot make the
11 assumption that the previously known exposure-
12 response relationships for the original indications
13 are going to be applicable for repurposing.

14 DR. CLOYD: Lastly, the quote from Yogi
15 Berra is, "The future ain't what it used to be."

16 DR. VENITZ: Dr. Barrett?

17 DR. BARRETT: On this topic of
18 collaboration, I read through the IOM report that
19 was in our package, and I have to say while all of
20 the points are covered, it's wonderfully vague and
21 there's just really not a lot of detail on how, in
22 fact, to pull that off. And maybe it's just to in

1 fact drum up an action item for what has to happen
2 next.

3 But if you really want active involvement
4 and collaboration, then people need to be
5 collectively part of teams, not just showing up
6 every year for "here's what I'm doing" kinds of
7 meetings. So I think this really needs some
8 thought for some tangible metrics on what
9 collaboration would constitute. If you really want
10 to leverage resources, then people have to work
11 together.

12 So I would just encourage that while the
13 items here are reasonable, the specificity and the
14 detail is completely lacking and needs to be there.

15 MR. GOOZNER: This is very much on the
16 nonscientific side, but it has to do with sort of
17 the economics of this whole space. You know, NIH
18 has launched this new translational science
19 initiative. I don't know where that's going to go,
20 or even if it's going to get funded in the current
21 environment.

22 But I think historically, what's been

1 interesting as a student of this, as opposed to
2 being a practitioner like other people on the
3 panel -- historically, this has been a large part
4 of government activity or academic activity.
5 Industry really only came in in the last 20 years
6 or so, simply because there were some really great
7 things that finally came along in the rare drug
8 space. And, again, I mean, all you have to do is
9 visit NIH headquarters and visit the shrine that
10 they've built to Roscoe Brady and all the work that
11 he did on the lysosomal storage disorders.

12 I don't know where this whole field is going
13 to go, moving forward, but I know the healthcare
14 system can't afford \$200,000-a-year drugs. So that
15 model isn't going to work as a way of building
16 incentives into the system. So I think thought
17 needs to be given to collaborative models that take
18 the economics and everything else into account.
19 I'm not here to give an answer to all that. I have
20 some opinions, but I don't know that they're so
21 well-formed that I need to spend 10 minutes trying
22 to formulate them off the cuff.

1 But I think that that's going to be a huge
2 concern going forward, is just like not worrying
3 just about getting the science done on this stuff,
4 but getting the forces aligned in order to do it.
5 It's going to be very, very difficult.

6 DR. VENITZ: Any final comments?
7 Dr. Thummel?

8 DR. THUMMEL: So just to follow up on that,
9 are there examples that one can point to where
10 these collaborations seem to be effective? I mean,
11 I'm sort of thinking about work my colleagues at
12 the University of Washington are doing in cystic
13 fibrosis. Are there enough examples where
14 effective partnerships have occurred that that can
15 be used as an example for other rare diseases and
16 industry folk who are pursuing the development of
17 orphan drugs?

18 MR. GOOZNER: I wrote about this in a book I
19 did about the drug industry. And one of the things
20 that I always found was fascinating is that when
21 you found an industry really getting involved, it's
22 almost because somebody came to them and really

1 beat them up over it. They had the drug, and so
2 they had to be pushed to do it. And they said,
3 well, yeah, you know, we could actually do that.
4 And then worked on it, and then lo and behold, they
5 had a drug that was fairly successful.

6 I mean, Gleevec is sort of an example of
7 that. And, certainly, if you look at the history
8 of Genzyme as a company, which just got bought --
9 but Genzyme was handed everything that they had,
10 basically, on a silver platter by work that was
11 done at NIH.

12 So I think that it just requires a kind of
13 spirit. It's the spirit of collaboration.
14 Industry has the tools, very often, but they really
15 don't have the financial motivation, ultimately.
16 And I don't know that the venture capital model is
17 a good model for this, either, because, don't
18 forget, the venture capital model ultimately says,
19 we're going to do nine or ten of these things. One
20 of them is going to really make it all the way
21 through the pipeline, and then we have to get our
22 100-, \$200,000 a year out of every single patient

1 for this drug in order to make all of them paid
2 for.

3 So that's the business reporter part of
4 me -- and I spent a lot of my career doing that
5 kind of reporting and thinking, that's not a very
6 good model, either, at least not from where our
7 healthcare system needs to go over the next 10, 20
8 years, at least if what I read in the papers is
9 accurate.

10 DR. VENITZ: Okay. Thank you.

11 Then let's proceed to our final presentation
12 today. Dr. Pariser, she's going to talk about
13 FDA's next step.

14 **FDA Next Steps**

15 DR. PARISER: Good afternoon. I'm Anne
16 Pariser, and I lead the Rare Diseases Program in
17 the Office of New Drugs at FDA's Center for Drugs.
18 I've been working at FDA for 10 years. I've been
19 working in the rare disease field all of that time.

20 I'd just really like to thank everybody for
21 coming today. This is a meeting we wouldn't have
22 had probably 10 years ago. And I particularly want

1 to thank Dr. Lesko and his office and the advisory
2 committee for discussing these issues and really
3 looking for efficient, deliberate, and more
4 systematized approaches to trying to address these
5 7,000 diseases. Only about 200 of them actually do
6 have targeted treatments, so the unmet needs are
7 great. So I'm really seeing this conversation as,
8 really, a step forward.

9 So I'd just like to spend the next several
10 minutes just touching on a few points that were
11 made earlier. I know some of these themes have
12 come up over and over. I will try to be brief. I
13 know it's getting late. And then we'll talk a
14 little bit about some of the things that FDA is
15 doing to try to address some of the things that
16 have come up, and how we're trying to move these
17 forward.

18 So as you've heard several times now, this
19 is a rapidly expanding area, and probably the most
20 rapidly expanding area. And it will continue to
21 rapidly expand. There's about 100 new diseases
22 being described a year. A lot of these genetic

1 diseases, in particular, that really had not been
2 described are now being described, which is
3 certainly very hopeful. But it does add diseases
4 to the list. And the common diseases are now being
5 divided into the medically plausible subsets that
6 Tim spoke about earlier.

7 An example here is the non-small-cell lung
8 cancer, which is certainly, unfortunately, not a
9 rare disease. But the anaplastic lymphoma kinase-
10 positive subset is about 5 percent of these cases.
11 There's a target identified, and that now gives you
12 a chance for intervention. But what that also does
13 now is divide things into smaller and smaller
14 populations, and we do have to find a way to
15 efficiently deal with that.

16 Once again, these challenges have been
17 stated several times. I'd just like to point out a
18 few here in the middle. Two of the biggest
19 challenges, at least in my mind -- and this is
20 where the track slows down to that 40-, 50-mile-an-
21 hour area -- would be the natural history studies
22 and the specific endpoints and outcome markers and

1 the biomarkers.

2 So it's really the work in the translational
3 space that seems to be one of the greatest areas of
4 opportunity and really one of the greatest areas of
5 need. And clinical pharmacology, of course, really
6 can be a main player in this area. And I think
7 we've heard that before, but if I had to pick a
8 couple of things out of here, I think, where we
9 could target some efforts, it would be here.

10 I think that question came up earlier as
11 well, is what's coming through the door this day
12 and age? As things are becoming more targeted and
13 there's a lot more thought coming into these
14 programs, are we seeing people walk in now with a
15 better natural history and biomarkers and things
16 identified?

17 I think the answer is yes and no. Some of
18 these are very well thought out, but some of them
19 not so much. And this is a major issue when you're
20 trying to design clinical trials and you're trying
21 to get to that level of evidence that you need to
22 approve the drug.

1 Once again, we've heard about the successes.
2 I won't go over them, but I just wanted to -- I'm
3 from CDER, so I'll just point out that 90 percent
4 of the orphans are in CDER. And one of the ways
5 we're trying to really describe what needs to be
6 done -- this is the successes, the barriers -- and
7 where we can intervene, perhaps, especially, is by
8 taking a look at our history.

9 So clinical pharmacology is doing a similar
10 look. Dennis was looking specifically at where the
11 clinical pharmacology level of evidence is. But
12 we're also looking more comprehensively across the
13 applications, a number of factors that go into
14 this. So we're in the process of taking a look at
15 the past five full calendar years, and the numbers
16 are very similar to what Tim said for all of FDA,
17 which would also include CDER.

18 But about 30 percent of NMEs and new
19 biologics are orphans. They are for a broad range
20 of indications. Out of the 35 drugs, there's
21 29 different indications, 28 different companies,
22 and the prevalence is anywhere from all the way

1 down to 50 patients to about 180,000, but the
2 median is somewhere around 43,000. So most of the
3 new approvals are actually for very low-prevalence
4 disorders. So the law is doing what it intended.

5 Here's some things that may be a little
6 anti-conventional wisdom. By the time you get to a
7 marketing application, an orphan application is
8 just as likely as a common disease to be
9 successful. About 75 percent of the orphan
10 applications do get approved. Twenty percent of
11 these -- and I found this to be a somewhat
12 remarkable statistic; 20 percent of these are first
13 in disease indications. Compare that to common,
14 where it's about 3 percent. And 75 percent of
15 these are in the small companies, and I think it
16 was Dr. Cloyd who mentioned this earlier; a lot of
17 this research is coming out of academics.

18 Well, for some of the very small companies,
19 there's not much difference between an academic and
20 a small company. It could be a couple of people.
21 Maybe they started in academia. So this is where,
22 really, the truly novel, innovative therapies are

1 coming from. It's usually the very small
2 companies.

3 As has been mentioned before, there's two
4 possible pathways to approval. There's regular
5 approval, standard approval, full approval, or just
6 approval; and then there's the accelerated approval
7 pathway. I know this has come up several times,
8 but the language says it has to be based on a
9 surrogate, reasonably likely to predict clinical
10 benefit. And that's kind of the key phrase there,
11 is "likely to predict benefit." For a regular
12 approval, orphan and non-orphan are held to the
13 same standard. That did come up a little bit
14 earlier, but let me clarify that in just a second.
15 And we'll come back to this accelerated approval.

16 A question actually came up during the
17 break, how many drugs actually have been approved
18 as accelerated approvals? Well, since the passage
19 of FDAMA in 1997, there have been about a hundred
20 accelerated approvals. These all have to be for
21 serious, life-threatening disorders with unmet
22 needs. About half of those are cancer, about

1 30 percent of those were HIV, about 10 percent of
2 those are bioterrorism, and the rest is really a
3 smattering.

4 But the critical issue here is to have
5 something act as a surrogate, it's really acting
6 instead of that clinical benefit. So that implies
7 that we really have to have a very good
8 understanding of -- most surrogates are biomarkers.
9 You have to have a very good understanding of all
10 the mechanistic pathways around that biomarker, the
11 intended consequences, the unintended consequences,
12 and is that truly going to predict. Correlation is
13 not enough.

14 That is really the limiting factor for a lot
15 of rare diseases. We don't understand the disease
16 or the biomarker well enough. So that's, once
17 again, a plea for this natural history and really
18 understanding the disease.

19 This is just a graphic showing that
20 surrogates are a subset of the biomarkers. We use
21 them interchangeably, but they're really not.
22 Biomarkers are incredibly useful. We use them all

1 along drug development. We certainly can't do drug
2 development without them. But there is a
3 distinction there.

4 So in terms of are orphans and non-orphans
5 looked at the same way by the review divisions, I
6 guess the answer again is yes and no. You still do
7 have to get to the substantial evidence of
8 effectiveness for approval. But written into the
9 regulations, there is this concept of flexibility,
10 and I've heard it mentioned several times today,
11 the scientific judgment and exercising flexibility.
12 So the review divisions absolutely do take this
13 into account.

14 So just one more thing to say on that. In
15 looking at our database and looking at this level
16 of evidence, which we're continuing to study, most
17 of the orphan programs are unique. Most are
18 nontraditional. Many of them -- last year, more
19 than half of them were based on a single trial with
20 supportive evidence of some kind, often clinical
21 pharmacology. And there were a wide range of study
22 endpoints. And this was even picked up by the Pink

1 Sheet last week. There was an approval in CBER
2 last week based on a 14-patient clinical trial.

3 So then what are our plans for the future,
4 and how are we going to incorporate some of these
5 things that have been mentioned today, and the
6 known challenges and where we need to intervene?

7 Well, this Institute of Medicine report,
8 which Dr. Barrett was just talking about, it is a
9 little bit vague. But I think what it is doing, it
10 is telling us that we really do need to approach
11 these differently than we have in the past,
12 collaboration, timely advancement of science, and
13 appropriate use of creative strategies.

14 So to put that somewhat graphically, if
15 we're looking at a traditional drug development
16 program, there's where the INDs and NDAs are. Drug
17 developers can be involved anywhere along here,
18 from drug discovery through to postmarketing. And
19 FDA interactions typically begin here, with the
20 pre-IND phase. It's about the point you're going
21 into human studies. And then this is often
22 described as that translational gap, and this is

1 where the track really slows down.

2 So where would we like to see this go?

3 Well, here we have our same bars, and this is where
4 we're building our scientific foundation. And I'd
5 just like to point out, this space here for FDA
6 interaction, we'll come to that in a minute. But
7 some of the things that we're trying to do, we're
8 actually trying to stretch everybody's involvement.
9 And I'll tell you some specific things that we're
10 doing for that.

11 FDA is really trying to reach down more into
12 the translational space here. And the scientific
13 foundation, meaning NIH and the TRND program
14 especially, they're trying to move things however
15 far they need to move them before they're going to
16 be picked up by a drug developer and try to move
17 these forward. So they're trying to step into that
18 gap, and they're trying to answer a lot of these
19 questions.

20 I think some of these things came up earlier
21 as well, academic developers that can't get the
22 animal toxicology studies done, for example, to try

1 to get their compound into development: Well, this
2 is somewhere that the TRND program is actually
3 looking to step in; the natural history studies,
4 the biomarker identification, the endpoint
5 identification, and this is an area where we are
6 working with the patients groups and the experts in
7 the field. They are very motivated to do these
8 things. These are things that are often best done
9 by patient groups, so how can we support them in
10 that?

11 So clinical pharmacology, actually, all of
12 these things really stretch down into this
13 translational space, but also continue to be
14 involved all the way through the clinical space.

15 So in terms of FDA interactions, there are
16 many opportunities for collaboration. And I know
17 that this has come up several times as well. But
18 this has been looked at a number of times over the
19 years by a number of different people, and one of
20 the best predictors for successful programs,
21 successful meaning working through to an approval,
22 is frequent, early, and quality interactions with

1 FDA. And it doesn't mean we always agree, but at
2 least if we're discussing the issues, we can
3 usually get from point A to point B.

4 A couple of opportunities that drug
5 developers are entitled to: These are milestone
6 meetings, pre-IND, end of phase 1 if it's a serious
7 disorder, end of phase 2A, end of phase 2, pre-NDA,
8 and then there's type C meetings that can occur
9 anywhere along there. But, once again, since a lot
10 of the innovation is coming from the small
11 companies, the inexperienced companies for the most
12 part -- I go to meetings and I say this, and a lot
13 of them tell me, "We can request meetings?" They
14 don't know this.

15 So, really, we're trying to get the word
16 out, and we're really trying to encourage people to
17 come in. Things go a lot better, especially if we
18 can anticipate what's going on. We can build that
19 scientific foundation in advance. We can get what
20 we need before we have to move to the pivotal
21 study; so, in other words, going from the rickety
22 bridge over the stream to the nice, solid bridge.

1 So I'll just comment on just a few areas of
2 focus. I think we've discussed this.

3 Mapping out the clinical development
4 programs, this is where the interaction is so
5 important, and this is where interacting with
6 patient groups, with NIH, with the academic
7 researchers, this is where it's so important. And
8 we have every intention of doing this, and we're
9 actually looking for new ways that we can do this.

10 It can be started before you even have a
11 candidate drug identified. And this is something
12 also that working with patient groups to do this,
13 if you can get the solid science foundation built,
14 if you can identify your centers, once you have a
15 candidate, then everything moves a lot faster
16 because all the startup work has been done.

17 Making the most of the early phase
18 development, I think Dr. Mundel gave a beautiful
19 example of that earlier today. They had a 4-
20 patient study. This is something we encourage
21 everybody to do. These are unmet needs. The
22 patients are very sick. There's always a sense of

1 urgency. Everybody really wants to get going very
2 quickly. But going in blind to that pivotal trial
3 is really a setup for failure, so even a very small
4 phase 1-2 study can be enormously informative.

5 I think this point has been made as well;
6 all the evidence will always be considered in a
7 rare disease application. I think the effort here
8 today is to try to use that to our best advantage
9 possible and to collect our best practices.

10 So just to name a couple of the new
11 initiatives, in addition to the Institute of
12 Medicine with their report, this has also caught
13 the attention of Congress, really, in the past
14 couple of years. There was legislation about a
15 year ago, the Brownback/Brown Amendment, that
16 mandated FDA to form two committees, a rare disease
17 committee and a neglected disease committee, and to
18 come up with recommendations. So that report is
19 actually due out this month, and a guidance has
20 been mandated that will come out in September.

21 You've heard also we have this database
22 analysis in progress. And what we are very hopeful

1 that will come out of this is more guidance, more
2 advice, a much better recognition of specifically
3 where the issues are. Where can we intervene?
4 Where can we most help? Which trial designs work?
5 What doesn't? Are there any predictors of what
6 goes wrong? And I think at this point we have some
7 ideas, but it would be really nice to get some data
8 around that.

9 The New Disease Program was founded in the
10 Office of New Drugs a year ago. That's my team.
11 And if anybody has any questions, please don't
12 hesitate to get in touch with either me or the
13 Office of Orphan Products.

14 Then I'd just really like to say a couple
15 words about some of our collaborations, and I think
16 that was a question earlier, what specifically are
17 you doing. Well, there are a number of workgroups,
18 and I can't possibly name them all, but we do have
19 one with NIH TRND program. And we meet on a
20 regular basis. And some of the things that we are
21 looking at, it's natural history studies. These
22 are so fundamentally, critically, and essentially

1 important, we're actually trying to get a workshop
2 together because it's the same question. What
3 makes a good natural history study?

4 There are plenty of people that have done
5 them. Some of them have been very good. Some of
6 them haven't been. But if we're going to bother to
7 do these, we really need to try to get the best
8 information that we can, and the patients are very
9 motivated to do these.

10 Workshops on scientific development, just to
11 name a few, there have been a couple of biomarker
12 workshops recently that actually FDA started.
13 We're going to have one for spinal muscular
14 atrophy, I think, in May that's cosponsored with
15 NIH. And these are other things that we'd like to
16 do.

17 There have been a few recently on patient-
18 reported outcome development. This is all emerging
19 science. We need to figure our best practices for
20 all of these things. The repurposing database
21 you've heard about. And then also I think this
22 feeds into the comment Dr. Cloyd made earlier about

1 the reticence of coming in and talking with FDA.
2 We don't want to scare people away. We do want to
3 be approachable. We do want that information to be
4 out there and easily accessible.

5 So we're working on trying to get one-stop
6 shopping on our website so you can find these
7 guidances because I have trouble finding things on
8 our website. If it's in one place, it will
9 probably make it easier. But we also started some
10 training courses, and we started a course
11 specifically for investigators.

12 We were going specifically for the small
13 biotech companies. The first one was held last
14 October, and we're going to do this again in
15 collaboration with DIA and NORD again in October.
16 But maybe we need to do one specifically for
17 academics. I don't know. Maybe we can talk. And
18 we're also training our staff as well. We actually
19 have an ongoing training course right now in
20 addition to the science of small clinical trials
21 that Tim spoke about.

22 So I'll just close with the Rare Disease Day

1 logo. Rare Disease Day was on Monday, and their
2 motto was, "Alone we are rare, together we are
3 strong." So I think that I'd just like to really
4 thank everybody for coming today. It's so
5 heartening to see so many people willing to step
6 into the orphan drug arena. We really thank you,
7 and I really thank you, the advisory committee.
8 Thanks.

9 [Applause.]

10 DR. VENITZ: Thank you, Dr. Pariser.

11 Any quick questions?

12 [No response.]

13 DR. VENITZ: Okay. Then I'm looking at
14 Dr. Lesko to wrap things up for us.

15 **FDA Closing Remarks**

16 DR. LESKO: Okay. I have a feeling you want
17 my usual fast wrap-up.

18 But, yes, we ventured into new territory
19 today with the topic of rare diseases/orphan drugs.
20 And we didn't know quite what to expect, but I have
21 to say personally I'm very delighted with the
22 advice we received from the committee, the

1 questions that were asked, the reaction to our
2 presentations. It was exactly what I was looking
3 for.

4 We had some goals coming into the meeting.
5 We have a vision for developing a road map for
6 efficient, informative, and systematic drug
7 development in the area of orphan drugs/rare
8 diseases, and I think we have the foundation of
9 that from today's meeting.

10 We also wanted to raise awareness,
11 particularly on the part of companies and others in
12 FDA, about the tools that we have available on
13 clinical pharmacology, especially those in the
14 quantitative area, how we've applied them before,
15 how they can conceivably be applied in the area of
16 rare diseases/orphan drugs.

17 Thirdly, we have in mind the possibility of
18 developing a guidance on this topic somewhere down
19 the road, and we felt this meeting was a good spot
20 to begin thinking about what the contents of that
21 guidance would be. And I think a lot of the issues
22 that were raised would really influence our

1 thinking in terms of what it would contain.

2 We also had a goal of hearing from the
3 committee on things we didn't think about. And I
4 think we succeeded there as well because we have
5 quite a bit of ideas, issues, concerns, good
6 suggestions that we had not thought of, and we
7 really appreciate that.

8 Finally, one of our goals was to create a
9 foundation for another advisory committee, as we
10 usually do on the topics we bring before the
11 committee, whether it's drug interactions or
12 clinical pharmacogenomics. And we believe
13 somewhere down the line we'll come back with a more
14 specific set of recommendations that take into
15 account a lot of what we heard today.

16 So on all counts, the committee, let me
17 thank you. You did your job as public citizen-
18 scientists and have given us a lot of good insight
19 into the topic that we brought to the committee
20 today. I want to thank the chair, Dr. Venitz. I
21 wish I could manage meetings as good as you do. So
22 thank you for that. I really appreciate it.

1 We have a production team behind this event
2 from FDA. I'd like to thank Cicely Reese, who's
3 behind me, I hope -- she was; Yvette Waples, who's
4 right next to the chair over there; Christine Lee,
5 working in the background liaising; and there was a
6 gentleman that's working on this project I didn't
7 know. So that guy, that anonymous guy, I want to
8 thank as well. And, of course, I want to thank my
9 colleagues from FDA.

10 Just looking at them and talking to them
11 during the break, this advisory committee I think
12 has actually brought us together, more so than when
13 we were working back in Silver Spring. So I think
14 with Tim and Anne and the team that we have at the
15 table, we're going to go forward thanks to this
16 event in collaborating much better on the things we
17 heard at this committee.

18 Lastly, I should thank the audience. These
19 events at the ASCPT meeting gives people an
20 opportunity to see government at work, hear the
21 debate and science that typifies regulatory
22 science, maybe encourages you to become an

1 applicant for an advisory committee at FDA.

2 I know after this presentation and after
3 this committee closes, we're going to get a lot of
4 comments from those that are in the audience, and
5 they can be kind of like a surrogate advisory
6 committee or something like that.

7 But anyway, thank you. Appreciate it.

8 **Adjournment**

9 DR. VENITZ: Okay. Thank you, Dr. Lesko.
10 Thank you, committee members. Thank you, audience.
11 The meeting is adjourned until next year's ASCPT
12 meeting.

13 I'm also asked to remind the people in the
14 audience, there will be a presentation in a few
15 minutes on how to become a member of an advisory
16 committee. So if you're interested in serving as a
17 member of an advisory committee for FDA, please
18 hang around. You will have a presentation to
19 attend in a few minutes.

20 Thank you. Meeting adjourned.

21 (Whereupon, at 2:49 p.m., the meeting was
22 adjourned.)